

CDRH TOWN HALL MEETING

4

5

6

7

DATE: May 5, 2011

8

PLACE: Sheraton Orlando Hotel Downtown
400 West Livingston Street
Orlando, Florida

10

TIME: 8:00 a.m. to 12:15 p.m.

11

REPORTED BY: SANDRA Y. KIDD, CSR, CP, CM

12

Notary Public
State of Florida at Large

1 P R O C E E D I N G S

2 The Town Hall Meeting on behalf of the FDA, on
3 May 5, 2011, commencing at 8:00 a.m., at the
4 Sheraton Orlando Hotel Downtown, 400 West Livingston
5 Street, Orlando, Florida, reported by Sandra Y.
6 Kidd, CSR, CP, CM, Notary Public, State of Florida
7 at Large.

8 DR. JEFF SHUREN: Good morning. I and Steve
9 Silverman, sitting right here, who is CDRH'S
10 director of the office of compliance, am pleased to
11 be here today and we're eager to hear from you your
12 questions and comments regarding medical device
13 regulation.

14 In 2010, we adopted four strategic priorities
15 to fully implement a total life cycle approach to
16 enhance communication, transparency, to strengthen
17 our work force and workplace and to facilitate
18 innovation and address unmet public health needs.
19 We committed to achieve 114 actions by the end of
20 2010. In fact, we accomplished 104 of them,
21 91 percent, and then finished up on the others since

22 that time.

23 Over the past year, the Center has worked to
24 make changes to reinforce our balance-public-health
25 approach, a fostering medical device innovation,

1 while assuring safety and effectiveness. This
2 approach is the cornerstone of our operations and it
3 governs the decisions we make each and everyday.

4 Achieving this balance can be difficult and
5 part of achieving this balance has been implementing
6 a smarter approach to device regulation, using our
7 unique vantage point to share much information as we
8 can legally with industry, healthcare professionals,
9 patients and other parties to solve existing safety
10 problems and help facilitate the development of new
11 technologies and safer, more effective next
12 generation devices.

13 But we can't achieve this balance alone. We
14 need to listen and we need to collaborate and that's
15 what today is all about.

16 We crafted our 2011 strategic priorities,
17 recognizing that we need to make progress and report
18 results on the significant initiatives we've already
19 taken; therefore, our priorities for this year,
20 which you can find posted on our website, focus much
21 of our efforts on completing for continuing the work

22 we started in 2010.

23 One of the major concerns we heard in our three
24 town hall meetings last year was about how well our
25 premarket review programs were operating and their

1 impact on medical device innovation and on patient
2 safety.

3 In January of this year, we announced an action
4 plan to strengthen our premarket review programs to
5 provide greater predictability, consistency and
6 transparency. We are now moving forward to
7 implement that plan by taking 25 actions this year.

8 In February, we announced our innovation
9 initiative to accelerate the development and
10 assessment of breakthrough technologies while
11 strengthening the U.S. infrastructure for all
12 medical devices. One of our proposals was to
13 establish an innovation pathway. We talked about
14 this at our March 15th public meeting. And this
15 pathway is available for pioneering technologies;
16 however, if adequately resourced, this would become
17 the new pathway for all or most technologies for
18 which clinical trial needs to be conducted,
19 particularly those subject to a PMA.

20 Today is the Center's second town hall meeting
21 of 2011.

22 First, you'll hear from individuals and
23 organizations. Several will talk about potential
24 risks with surgical mesh and dental amalgams, two
25 type of devices that we are actively reviewing

1 because of safety concerns.

2 Afterwards, we'll open the floor to anyone who
3 wants to ask a question or make a comment.

4 Let me start by apologizing in advance.

5 Sometimes you may get a response that is not as
6 responsive as you would like it to be. If we are
7 actively reviewing an issue, particularly if we
8 might be developing a policy, our policy and that is
9 for Washington typically is not to talk about or
10 provide details.

11 Also, often times, we can't talk about when we
12 might come out with an action, because there are a
13 number of steps we need to go through that are
14 outside of our control.

15 So, I may engage in Washington bureaucratic
16 speak. So, I'll apologize in advance, but that's
17 just long-standing policy and I need to stick by it.

18 The second is we don't generally discuss or
19 answer questions about specific medical devices,
20 particularly if they are under active review or
21 appeal at the Agency, and again, that's also out of

22 fairness to those who may -- of the company. Even
23 if the company raises it, we often don't do it
24 because our responses tend to involve confidential
25 information.

1 So, with that, again let me welcome and thank
2 you for coming today.

3 MS. HEATHER HOWELL: So, we'll begin with our
4 speakers.

5 Our first speaker today is Geary Havran with
6 the Florida Medical Manufacturers Coalition.

7 MR. GEARY HAVRAN: Good morning. I'm Geary
8 Havran. I'm the president of a medical device
9 company in St. Petersburg, Florida and the current
10 chair of the Florida Medical Manufacturers
11 Consortium, which is our statewide trade association
12 of medical devices here in Florida.

13 I want to begin by welcoming Dr. Shuren and his
14 team to the great State of Florida. We're very
15 pleased that you are here and I'd like to preface my
16 remarks by saying that we in the industry certainly
17 want a strong effective and efficient FDA. We
18 recognize that we're partners in bringing the best
19 care and technology to patients around the country
20 and look forward to continuing to work with you.

21 Just a few comments briefly about the Florida

22 Medical Manufacturers Consortium. Florida, unknown
23 to many people, is a great secret, but Florida is
24 second only to California in the number of
25 FDA-registered medical device manufacturing

1 facilities. That's a message that we try and get
2 out.

3 We have over 470 companies here in Florida and
4 hundreds more of suppliers to those companies. Our
5 industry has a favorable balance of trade, which is
6 becoming more and more unusual, and our industry
7 pays average wages in excess of \$55,000.

8 Also, somewhat unique to Florida is that our
9 industry here is composed of a lot of small
10 companies. 95 percent of our companies have 50
11 employees and fewer, and 85 percent of our companies
12 have 25 employees and fewer.

13 And here to address one of our concerns and
14 some of those driving factors originate with the
15 FDA, and a lot of others are outside the scope of
16 the FDA. Our biggest concern is that many of our
17 companies are being driven to introduce products
18 overseas, to move the manufacturing overseas and to
19 lose our manufacturing base here in Florida and the
20 U.S., and we want to do obviously everything we can
21 to stop that trend or to reverse it.

22 And so, why is this happening?

23 Well, with respect to the FDA, we certainly

24 appreciate the FDA's efforts to improve the

25 premarket review in targeted areas; but, over the

1 past few years, there has been in the industry view
2 just too much uncertainty in how the process is
3 working.

4 The FMMC members are being concerned about the
5 lack of predictability, transparency and sometimes
6 question the reasonableness of the premarket review
7 process, and as I think you know, there have been a
8 lot of reports in the presence and elsewhere that
9 some of the industry feel like some of the systems
10 outside the U.S. are a little more predictable.

11 And just as an anecdote, I was talking with a
12 consultant who has for years done applications and
13 she said to me on the phone, she said, Geary, I used
14 to think I could go to a client and say here's
15 exactly what you need, here's exactly how long it's
16 going to take, and she said lately, I'm of the
17 opinion it is almost like I don't know what I'm
18 doing anymore.

19 So, we hope to be able to work with the Agency
20 and to get some clarity through that process. And
21 while some, both within the FDA and outside the FDA,

22 are talking about the 510(k) process and particulars
23 being broken, we will defend both the FDA and our
24 industry in the fact we don't think it's a broken
25 process, and while there may be some opportunities

1 for improvement, it is a case where we certainly
2 don't want to throw out the baby with the bath
3 water.

4 And I just want to make very clear that we're
5 not asking that the bar be lowered in terms of the
6 review of the technologies for new products; but, we
7 want to make sure that the reasonable assurance of
8 safety and effectiveness and clear guidance remains
9 the key part of that program.

10 And I also want to make very clear that while
11 there are probably a lot of folks getting wealthy
12 right now doing studies, blaming the FDA for the
13 problems or supporting the FDA and blaming those
14 this industry for the problem, our position quite
15 frankly is that anytime spent pointing the finger at
16 one group or another is time wasted, which would
17 much better be spent working together to improve the
18 situation for all those concerned and most
19 importantly for the patients who are the ultimate
20 consumers of our products.

21 I just want to talk about a couple things and

22 specific items that we're not looking for an answer
23 for, but just to mention and that is that someone
24 managed to go all the way back to 1999 and find a
25 what I think was called the Redaction Regulation

1 which talked about potentially getting redacted
2 copies ready for FLI submitted with some of the
3 industry documents so that the industry could in
4 return get them back from the FDA in a much faster
5 fashion and perhaps there is an opportunity for
6 revenue stream for the Agency there to pay for that
7 as well.

8 And then, we also know that we have a lot of
9 suppliers and contract manufacturers here in
10 Florida, and there was a public document issued a
11 little over a year ago, I think, that talked about
12 potentially removing the exception for contract
13 manufacturers filing facility registrations, and I
14 think the comments from industry indicated that
15 industry has no problem with that and would support
16 removing that exemption; but, unfortunately, the
17 contact information in the Federal Register is now
18 obsolete, and so, I'm trying to follow up on that.
19 We're kind of hitting a road block trying to get to
20 the proper person.

21 And so, I just -- I bring that up not as a

22 criticism, but there may be other public documents
23 the say same way where, as contacts have changed,
24 unfortunately, the original Federal Register Notice
25 being the primary vehicle for binding the contact

1 kinds of leads people to a dead end and maybe others
2 are smarter or more persistent than I am, but I tend
3 to give up sooner.

4 So, with that, I just want to say that
5 certainly I and my industry colleagues are certainly
6 available to help in any way or to answer any
7 questions in any way that the Agency deems
8 appropriate.

9 And once again, certainly, I want to thank you
10 for coming to Florida and we hope that today's
11 meeting and your trip are both productive and
12 pleasant.

13 So, thank you very much.

14 MS. HEATHER HOWELL: Our next speaker is
15 Bernard Windham.

16 MR. BERNARD WINDHAM: I'm the president and
17 research director for DAMS International, which is a
18 patient support organization. I am a medical
19 researcher and have a background in environmental
20 externalities and health effects of toxic exposure,
21 that kind of thing.

22 Like thousands of others who I'm aware of
23 through my organization, after I became involved in
24 it, when I got mercury poisoning, I gradually became
25 disabled in the early 1990s and was diagnosed with

1 multiple sclerosis.

2 I had lots of, all kinds of problems, physical
3 problems of all kinds, and lots of tests, and one of
4 my tests found that I was mercury toxic, which
5 surprised me because I was a mercury researcher for
6 a state agency, though I didn't research dental
7 amalgam. I researched emissions and that kind of
8 thing.

9 I found the main source of my mercury was
10 dental amalgam, and in researching the literature, I
11 found that that was not unusual because it is the
12 number one source of mercury in most people who have
13 several amalgam fillings, which has been documented
14 by hundreds of thousands of tests by medical labs
15 and also by government agencies.

16 I had my amalgam fillings replaced. I didn't
17 know how to go about doing that, but I started
18 searching around to find people that knew how to do
19 it and I had them replaced, and over the next three
20 years, I had my amalgams and metal crowns that were
21 over amalgam replaced and also did some

22 detoxification, which I also didn't know anything
23 about much, but found out through my research and
24 talking to people, and I basically recovered from
25 all of my different symptoms. I got to where I was

1 so bad I couldn't hardly walk and couldn't drive and
2 had to have my daughter drive me a lot.

3 And so, anyway, but after getting rid of my
4 amalgam and doing the right things, I basically have
5 recovered to where in my sixties I've been playing
6 softball on city teams with a lot younger players
7 and likewise basketball even again.

8 So, and I also was told I was going blind, and
9 after doing this, my eyes got so good I don't wear
10 glasses anymore.

11 And this is true of a lot of over 60,000 cases.
12 We have over 60,000 cases of people we work with
13 that have similar experience to me.

14 What I want to talk about a little more,
15 though, is that there has never been a time in U.S.
16 history when mercury was not known to be extremely
17 toxic and when there was evidence that its use in
18 amalgam might be safe.

19 I'm providing references of over a 880 pages of
20 articles and dental journals from the 1800s,
21 documenting the fact that the dental authorities

22 were aware that mercury was extremely toxic and that
23 amalgam was unstable and its use caused high mercury
24 exposure and patient harm. And I'm going to
25 actually mention some excerpts from some of the

1 articles of that time.

2 Due to the high toxicities of mercury and the
3 known documented fact that those that have amalgam
4 fillings get significant mercury exposures and
5 commonly experience adverse health effects, those
6 dentists who used mercury in that time were called
7 quacks after the German word for mercury.

8 By the late 1900s, there were thousands of peer
9 reviewed studies documenting high mercury exposures
10 from amalgam use and common significant adverse ill
11 health effects. I used the Med Line National Issue
12 of Health, National Library of Medicine for a lot of
13 my sources for that kind of information, and there
14 are thousands of studies there which I actually
15 reference over 5,000 peer review studies on my
16 website.

17 The following are excerpts from some of the
18 articles in that 800 something pages I mentioned
19 from the 1800s. They say from its inception, the
20 better clients of dental practitioners wage war
21 against its use. The manner in which it was

22 introduced called for the censor of all who have

23 professional etiquette.

24 Dr. Harris of the Baltimore College of Dental

25 Surgery stated it is one of the objectionable

1 materials for filling teeth that can be employed.

2 At the meetings of the dental societies, the
3 subject was discussed and strong arguments made
4 against its use. They reported that such substances
5 were hurtful to the mouth in all parts and there
6 were no caries that other sources couldn't be used
7 for filling. The report was unanimously adopted by
8 the societies.

9 In 1845, the Mississippi Valley Association of
10 Dental Surgeons resolved that the use of amalgam was
11 injurious and unprofessional and would not be
12 continued use by its members. But the age of
13 special interest and economic benefit came about in
14 promoting amalgam fillings, and in spite of all of
15 the record and the knowledge of the dental
16 authorities, amalgam use has become fairly
17 widespread, in fact, very widespread.

18 The Dental Registry of Dentistry in 1872 had
19 the following case from mercury in a tooth filing:
20 John T. Smith died of salivation caused from mercury
21 in a tooth filling. Dr. Sprague attended the case.

22 Two other doctors consulted and agreed he was
23 suffering from the effects of mercury. It was clear
24 that mercury had caused his death. This is one of
25 many cases cited in the journals of that time.

1 The reasons for the high known exposure to all
2 with several amalgam fillings is due to mercury's
3 unusual properties. It is a gas at room temperature
4 and it's not stable at any other form. It continues
5 to vaporize to a gas at any temperature over 10
6 degrees Fahrenheit, and as the temperature
7 increases, the vapor pressure increases and it
8 vaporizes faster.

9 In addition to that, if you put in amalgam with
10 other metals you get a battery effect, and you can
11 actually go down to Radio Shack and pick up a
12 microamp meter and put one probe to the tooth to the
13 filling and one to the hard pallet, and you can
14 measure that it is pumping mercury and other metals
15 into the body and accumulates, according to autopsy
16 studies, to high levels throughout the oral cavity
17 of people who have fillings. And from there, it
18 moves on through capillaries and veins and nerves to
19 all parts of the body and accumulates in organs to
20 high levels that later in life causes a lot of
21 problems.

22 As I said, our organization has documented, has
23 worked with people who have recovered. We have over
24 60,000 cases of people who have recovered after
25 getting rid of their amalgam fillings. And there

1 are a lot of other cases out there; but, our
2 organization alone has documented 60,000 cases of
3 recovery. And several of us in our organization had
4 MS like me.

5 In addition, due to mercury's properties of and
6 the high exposures of those with amalgam fillings,
7 dental amalgam is documented by municipal sewer
8 agencies and the EPA to be the largest source of
9 mercury in sewers and sewer sludge with very high
10 levels in both.

11 The average person with several such fillings
12 or crowns over amalgam is documented to excrete
13 approximately 30 micrograms per day in sewers, a
14 very high level, since mercury is the most toxic
15 element people commonly come in contact with.

16 Medical labs found the average person with
17 amalgam excretes almost ten times of mercury as
18 those without amalgams, and you can find that on
19 their websites on the web in a medical lab.

20 Amalgam is a significant source of mercury in
21 water bodies to the environment, to fish.

22 So, in Florida, we have over -- most of our
23 water bodies have warnings for mercury and are in
24 fish. Well, dental amalgam is the largest source in
25 sewers and major source in water bodies from the

1 sewer outfalls, and there is actually enough coming
2 in to contaminate virtually all the fish in Florida
3 to dangerous levels, and we do have that problem
4 with mercury in fish in Florida.

5 This is true, also, in other states and some of
6 the other countries that have actually banned
7 amalgam. The environmental effects of the mercury
8 going into the water bodies in fish is one of the
9 reasons that they cite in why countries like, well,
10 several countries in Europe have banned amalgam, and
11 other countries, because of the harm to people, have
12 put limits on use in women and children.

13 MS. HEATHER HOWELL: Thank you, Dr. Windham.

14 MR. BERNARD WINDHAM: Okay.

15 MS. HEATHER HOWELL: Our next speaker is Ashlea
16 Ricci.

17 MS. ASHLEA RICCI: Thank you.

18 I would like to thank FDA for allowing us this
19 opportunity to come in and speak today, and I will
20 be speaking on behalf of my managers and colleagues
21 at Conmed Linvatec.

22 I am Ashlea Ricci, a regulatory specialist at
23 Conmed Linvatec in Largo, Florida, eight years of
24 regulatory experience in medical device field.
25 I would like to take this opportunity to raise

1 some points of discussion for both FDA and industry
2 at today's meeting, posing some questions but not
3 necessarily for immediate answer.

4 The first point I would like to present is the
5 recognition of consensus standards. Specifically,
6 I'm referring to the third edition of IEC 60601-1,
7 which introduced significant modifications to the
8 family of medical electrical equipment safety
9 standards, including the introduction of a risk
10 management process, and we are interested in hearing
11 how or when FDA does envision recognition of this
12 IEC standard.

13 The second point I would like to raise is in
14 reference to the unique device identifiers. Over
15 the past couple of years, FDA has discussed this
16 issue extensively up to the point of conducting a
17 pilot study for UDIs, and we're very eager to learn
18 what the FDA's position is concerning implementation
19 of this.

20 The third point I would like to address today
21 is the more popular topic of the 510(k) process, and

22 particularly, over the last two years, industry has
23 seen a dramatic change of the apparent
24 interpretation of guidance documents and consensus
25 standards, and it would be interesting to hear

1 whether or not the FDA does share the same opinion,
2 and additionally, to find out if there are any
3 programs in place for cleaning up the outdated draft
4 guidance documents so that industry has a clearer
5 path for reviewing guidance documents and following
6 those.

7 And we are also curious to find out the place
8 within the 510(k) paradigm for special and
9 abbreviated 510(k)s. There have been a lot of
10 speculation that the special and abbreviated would
11 no longer have a place in the FDA review and it is
12 essentially a traditional review for all 510(k)s
13 submitted. So, it will be interesting to hear the
14 FDA's viewpoint on that as well.

15 Industry has also seen a trend towards
16 side-by-side testing, subject to predicate devices
17 in order to determine substantial equivalence. This
18 has actually been seen in some of my recent
19 experience with 510(k) submissions. It was actually
20 a requirement to obtain predicate devices in order
21 to test side by side and to perform those tests in

22 the same manner.

23 And it would be interesting to hear whether or

24 not FDA sees this as a trend within the respected

25 divisions of FDA and if FDA also takes into

1 consideration the additional costs that this imposes
2 in an already difficult economic time.

3 In terms of the 510(k) process, itself, and the
4 predictability, a recent article in the Washington
5 Post discusses the quality of submissions as a
6 delaying factor in submission approvals in an
7 abstract from this article I would like to read
8 shortly, and this involves Mr. Shuren.

9 The main problem the FDA has encountered in
10 recent years, according to Shuren, is the declining
11 quality of applications from device makers. He said
12 that more than 50 percent of applications for
13 conventional medical devices missed key information,
14 leading to delays that should have been avoided. We
15 are stepping up the plate to do our part to get this
16 right, but if it is going to work, we need industry
17 to do their part, Shuren told members of the house
18 energy and commerce health subcommittee.

19 Seated with Shuren at the witness table was a
20 trio of device industry entrepreneurs, who said the
21 pace of non-predictability of FDA reviewers is

22 driving some companies into bankruptcy.

23 Despite this, the industry has also responded

24 and pointing out as taken from the April edition of

25 the Gray Sheet that during the review of premarket

1 applications, FDA has made formal observations that
2 were minor in nature that may have been more
3 efficiently handled in formal channels. FDA has
4 asked for information beyond what is stated in the
5 guidance documents or standards, and FDA has failed
6 to inform industry of policy changes.

7 One experience I had was a statement made in an
8 Osmond meeting that was later referenced in a
9 deficiency for a 510(k) and it was referenced back
10 specifically to the Osmond meeting and when that
11 comment was stated.

12 So, there has been some inconsistency in the
13 dissemination of FDA policy and formal
14 pronouncements.

15 It is hoped that FDA recognizes the constantly
16 changing FDA reviewer environment and lack of
17 predictability when analyzing the completeness of
18 industry submissions.

19 And I can speak for all of the other
20 submissions that have gone and the ones I have
21 worked on. Obviously, the precedent of prior

22 interaction with FDA reviewers is a big informer in
23 what we do moving forward, and this has been
24 inconsistent between reviewers, and what you learned
25 from one 510(k) submission doesn't necessarily

1 translate into the next.

2 So, we look forward to the implementation of
3 FDA activities in the upcoming year and hope that
4 the working relationship between FDA and industry
5 can continue to improve. Thank you.

6 MS. HEATHER HOWELL: Our next speaker is
7 Charmaine Frederick.

8 MS. CHARMAINE FREDERICK: Good morning. I'm a
9 registered nurse and concerned citizen, and I'm
10 going to talk about mercury amalgams.

11 Over the years, I had many mercury amalgams
12 placed in my mouth, 14 to be exact. It wasn't until
13 I read Jim Hardy's book, Mercury Free, that I was
14 well aware of issues regarding mercury. Issues such
15 as --

16 Excuse me. I have Parkinson's disease, and it
17 has affected my speech so you have to bear with me.
18 Okay?

19 But as I read Dr. Hardy's book I was very angry
20 with the dentists first of all who over the years
21 put mercury in my teeth and didn't really tell me

22 what the risks were. And then I was kind of mad at
23 the FDA, because I thought, you know, this debate
24 has gone on since the 1800s. What are we doing
25 here? You should have banned it a long time ago. I

1 don't understand why you haven't done this.

2 And thousands of studies have been done
3 regarding this issue and I don't know why you
4 haven't believed them. It doesn't make any sense to
5 me. It defies comprehension to look at. You know.
6 Trying to look at a normal range for a toxic
7 substance doesn't make any sense to me.

8 The argument that you make is that if you have,
9 that if you just take one substance of one chemical
10 and you look at the emissions of it, it doesn't look
11 at the cumulative effect of it in the human being.

12 So, you know, it is just not mercury that is
13 involved in my Parkinson's disease. It was all the
14 other toxins I've been exposed to over my lifetime.

15 When you look at a toxic stew, that is really
16 more than some of its parts that you haven't taken
17 into consideration. You are looking at things in
18 isolation. You do not look at the harm, Dr. Shuren.

19 It is very apparent to me that it is time that
20 we let mercury go. When we know better, we do
21 better, and it is the perfect opportunity to make a

22 ban against mercury amalgams.

23 MS. HEATHER HOWELL: Our next speaker is Julie

24 Sadler for the Society of Diagnostic Medical

25 Sonography.

1 MS. JULIE SADLIER: Good morning. Thank you
2 for allowing me to speak today.

3 Good morning. My name is Julie Sadlier. I'm a
4 local sonographer representing Society of Diagnostic
5 Medical Sonography based here in the Orlando area.
6 I would like to thank you for the opportunity to
7 provide comments on the Center of Devices and
8 Radiologic Health Activities.

9 The SDMS represents more than 23,000
10 sonographers across the United States and in more
11 than 40 countries. Sonographers are the dedicated
12 professionals who create medical images using
13 ultrasound technologies. Sonographers typically
14 complete at least 18 to 24 months of education and
15 training and then successfully complete a national
16 certification examination to demonstrate their
17 competency in diagnostic medical sonography.

18 Today, I would like to discuss the ongoing
19 issue of entertainment or 3d/4d ultrasounds
20 performed by untrained persons and the harm that may
21 result from the lack of effective regulation of the

22 ultrasound equipment under the CDRH statutory and
23 regulatory authority.

24 There is little question that sonography in the
25 proper hands is safe and countless lives have been

1 saved by being able to look quickly inside a parent.
2 However, sonography is also the most operator
3 dependent imaging modality, requiring great
4 knowledge of ultrasound physics and skill
5 proficiency to obtain accurate medical sonograms.
6 It is not just placing gel on the mother, applying a
7 probe, and pushing a button to get a pretty 3d image
8 or 4D image video.

9 Ultrasound equipment used for medical imaging
10 is typically considered an FDA Class II or III
11 medical device and requires a physician order for
12 its legal use. Yet, its use by unqualified
13 personnel continues to go virtually unchecked.
14 Though ultrasound is non-ionizing medical imaging
15 modality and certainly does not have the same risks
16 associated with ionizing radiation, it is not
17 without some risk, especially in the hands of an
18 unqualified person. Because of the potential for
19 mechanical and thermal bioeffects on human tissue
20 exposed to ultrasound, the medical community agrees
21 that ultrasound exposure should be kept as low as

22 reasonably achievable. This is also known as the
23 acronym, ALARA. A proper understanding of how to
24 use ultrasound in medical imaging is the best
25 protection against inadvertent harm.

1 We continue to see an increase of entertainment
2 ultrasound businesses without the following:
3 Adequate physician oversight, qualified medical
4 personnel performing the sonograms, and a lack of
5 independent accreditation to ensure effective
6 quality controls are in place so the equipment is
7 operating properly.

8 We continue to hear reports of hour-long
9 imaging keepsake sessions, and as I googled last
10 night, you can have a baby shower for three hours
11 and have cupcakes and punch provided as well. This
12 is outrageous to me.

13 I'm here today specifically because last month
14 one of my physicians asked me to file a complaint.
15 He called me outraged that his patient had been to a
16 4D facility, actually the third one this month,
17 because there is a mailing list and was told the
18 umbilical cord was wrapped around her baby's neck.
19 The mother was hysterical. We immediately rushed
20 her into our office where we had to calm the patient
21 and do our own ultrasound as well as provide

22 umbilical cord dopplers and a biophysical profile to
23 reassure the mother's fetus well-being. The ironic
24 thing was the cord was not wrapped around the fetus'
25 neck; however, there was no amniotic fluid around

1 the fetus and we had to send the mother straight to
2 the hospital.

3 This again points out the person operating
4 these machines had no sort of medical background or
5 physician within sight. At no time were we notified
6 of any problem from this place as stated in the
7 brochure.

8 I placed a call to the facility and spoke with
9 the owner, who stated what was the problem? She
10 told the patient this was really no big deal. I
11 later found out she is a physician in Venezuela, a
12 surgeon. She has no Florida license here.

13 I know that in the past the FDA has issued few
14 warning letters, some press releases and has posted
15 some consumer information on the FDA website about
16 keepsake ultrasound, but it is not enough to simply
17 tell Americans that the FDA-approved ultrasound
18 imaging systems must be used at direction of a
19 physician. As you just saw, I believed I was
20 speaking with a physician; I didn't know she was a
21 plastic surgeon.

22 Today, literally hundreds of ultrasound
23 machines are currently available for purchase on
24 eBay without any verification that the person
25 purchasing is qualified to use the equipment.

1 Prices for these range from less than a thousand
2 dollars to \$70,000. If you have a credit card or
3 cash, you can buy one today.

4 New technologies will only increase the
5 regulatory challenges relating to ultrasound imaging
6 equipment. For example, Mobisonte's MobiUS device
7 that recently received the FDA 510(k) clearance is a
8 mobile ultrasound imaging system that uses a
9 smartphone and Internet cloud services. What steps
10 is the FDA taking to ensure that these small
11 portable devices do not end up the in hands of
12 someone who does not know how to use them?

13 Being a sonographer and a mother, I understand
14 a patient's desire for priceless pictures of their
15 baby. I strongly recommend that physician approval
16 as well as a study be performed or OB physicians are
17 present should any problems arise.

18 I leave you with three questions:

19 Number 1. What has the FDA done recently to
20 prevent the inappropriate use of ultra sound
21 equipment?

22 Number 2. What is the FDA prepared to do in
23 the future?

24 And number 3. How can the sonography community
25 help the FDA in establishing these regulations?

1 Thank you.

2 MS. HEATHER HOWELL: Chris Scarano.

3 MR. CHRIS SCARANO: Good morning, Jeff. I
4 brought you a gift this morning to thank you for
5 allowing me to speak.

6 Jeff, do you know what George Washington our
7 first president of the United States died of?
8 George Washington was legally murdered in 1799 by
9 the finest allopathic doctors at the time. He was
10 given a fatal dose of mercury in hopes of curing his
11 sore throat. You will not find this in our
12 children's history books. It is not even mentioned
13 on Wikipedia.

14 In 1799, they didn't know any better. It's
15 been 212 years since George Washington was poisoned.
16 You would think that our FDA has had enough time to
17 protect us from mercury's toxicity.

18 Maybe our FDA needs to revisit the famous story
19 of the cherry tree. You see the moral of that story
20 was George Washington was an honorable child for
21 admitting the truth. When will the FDA tell us the

22 truth?

23 The early 1900s saw the creation of the

24 American Medical Association, a doctors' union

25 created to stamp out homeopathy. At the time,

1 homeopaths were taking away too much business from
2 the allopaths.

3 Why do I bring this up?

4 This disastrous union called the AMA, which
5 works alongside the FDA, set into effect a system of
6 profiting off of the sick. The AMA grew huge by
7 making key alliances with business interests. The
8 rise to power was mainly due to the money behind the
9 drug and tobacco companies.

10 100 years ago, our government chose to put our
11 health second to money and special interests.

12 150 is a number that's proudly stated on the
13 FDA's website regarding mercury's long career in the
14 dental profession. For over a hundred fifty years,
15 amalgams have been placed into our mouths. In fact,
16 a hundred fifty years ago, we had only 32 states and
17 Lincoln was president.

18 Fact: A hundred fifty years ago, the idea of
19 communism was invented.

20 Fact: A hundred fifty years ago, there was
21 still slavery.

22 We have put a man on the moon and figured out
23 how to split atoms; but, yet, you, Jeff, are still
24 allowing this barbaric dental practice to exist.
25 The world already knows the truths about the

1 dangers of mercury. It is our First Amendment right
2 to know the truth also. I will be so embarrassed to
3 go to work knowing that I'm responsible for the slow
4 poisoning of my own people, covering up the
5 overwhelming evidence that exists and preying upon
6 the poor and uneducated. I would also be
7 embarrassed to come home knowing that's how I if
8 he'd my family. Only a coward would choose money
9 and politics over a human being's life.

10 Have you heard about the latest car stroller or
11 car seat to be recalled? Our government is quick to
12 act when one of these could be defective. An
13 immediate recall is announced in the hope of
14 preventing deaths.

15 Where was the immediate ban on amalgams?

16 There is enough mercury in one large filling to
17 sufficiently contaminate a ten-square mile lake and
18 restrict fishing.

19 I would also like to know why you allow
20 dentists to place dissimilar metals into our mouths.

21 This is another barbaric practice that creates a

22 battery effect. In my case, a gold filling is
23 causing my amalgams to outgas even more.

24 The FDA is quick to urge the public the dangers
25 while driving on certain medications. I would like

1 to know why, Jeff, you allow me to drive. I don't
2 remember ever getting warned at a dentist office
3 about the dangers of driving with mercury in my
4 mouth.

5 Each time I step into my car, I run the risk of
6 killing myself, my four-year-old daughter or
7 somebody else.

8 For the second time in two years, I am having
9 acute issues with my eyesight, vertigo and brain
10 fog. My daughter, the love of my life, is placed in
11 a dangerous situation each time I buckle her up.

12 The question that remains is can I sue you for
13 my loss and suffering? This has affected the growth
14 of my business for years. What about the permanent
15 damage to my body? What about the expenses to
16 remove my amalgams, put in new fillings, the cost of
17 vitamin C IVs? Better yet, who is going to pay for
18 the chelation I have to undergo?

19 While you are at home sleeping comfortably, I
20 will be waking up every three hours for one to two
21 years to give myself oral chelation. Extra expense

22 will be needed to radically change my diet. I will
23 be at war with my body, endangering my life to rid
24 of the mercury. Where is your responsibility in
25 this?

1 The internet is freeing countries. Websites
2 like Wiki Leaks are exposing corruption and new
3 political parties are emerging to fill to rid of the
4 beaurocracies like the FDA. I love America, but to
5 think that our health is not a priority in your eyes
6 makes me embarrassed to be an American.

7 This poisoning against our own people is a form
8 of genocide and for sure is a crime against humanity
9 that will one day be brought to a court of law.

10 I commend Kentucky for currently trying to kick
11 the FDA out of their state. Just like Thomas
12 Jefferson said no to the fellow government, we here
13 today stand in unity to say no to our federal
14 government. What is the point of federal regulation
15 when you are biased and paid off? You have assumed
16 an undelegated power to poison the people, the same
17 people that pay your salaries.

18 Now, regarding your gifts, Jeff. I'm hoping
19 that you might have a connection to get me approval
20 to sell these devices to the public, these products
21 to the public. I brought today a mercury mug, a

22 mercury straw and a mercury pacifier.

23 After reading your website, I feel totally

24 confident that I can word it in a way to convince

25 people that they are totally safe. First, we are

1 going to tell the public that they are silver
2 instead of mercury. People are stupid anyway.
3 They'll never question it. We will have
4 manufactured these with the ingredients taken from
5 your websites from a mixture of metals consisting of
6 liquid mercury and a powdered alloy composed of
7 silver, tin and copper. They will only outgas
8 minimally at standards that are acceptable to the
9 FDA. We will ignore the worldwide evidence of the
10 dangers in using them and will lobby the government
11 heavily to ensure we're protected as a manufacturer.

12 Enjoy, Jeff. Just pay no attention to the
13 skull and crossbones. Be careful when cleaning them
14 and especially when drinking hot liquids in them.
15 Oh, and do get back to me with the heavy metals hair
16 test for your loved one's baby after sucking the
17 pacifier, and don't tell me that the baby was eating
18 sushi, Jeff.

19 MS. HEATHER HOWELL: Thank you, Mr. Scarano.

20 Our next speaker is Patrick Murphy with the
21 International Laser Display Association.

22 MR. PATRICK MURPHY: Thank you for this
23 opportunity. I have a slightly different topic. I
24 am the executive director of the International Laser
25 Display Association. We are the only trade

1 association for laser light show producers
2 worldwide.

3 I want to talk mostly about laser pointers, but
4 first some brief words about CDRH's variant system
5 for laser light shows.

6 Our U.S. members' major concern is that the
7 variant system is broken. Specifically, there is no
8 real enforcement of the requirement for variances.
9 Thousands of laser light shows are done every year
10 without a variance. Often, these shows directly
11 scan the audience with lasers, which is generally
12 not allowed by CDRH.

13 Anyone can easily find YouTube videos of
14 illegal shows like this. It seems as if no shows
15 are stopped in advance and no one is fined or jailed
16 afterwards.

17 Our members say that they feel like fools for
18 filing variances, waiting for approval, and not
19 performing certain effects, all while they lose
20 business to companies that do these. They do shows
21 anytime, anyplace with just about any effects,

22 including audience scanning.

23 What makes this worse is that the illegal

24 companies are probably right. There have been only

25 a handful of audience injuries from laser light

1 shows worldwide in the past three decades of laser
2 light shows. This is after 110 million persons have
3 been exposed to 11 billion pulses of laser light in
4 their eyes. That's why there have been essentially
5 no reported injuries from illegal shows in the U.S.
6 or even overseas. They are of insignificant risk to
7 the public.

8 Speaker number eleven today, Greg Makhov, will
9 be presenting more ideas about this. I just wanted
10 to emphasize that ILDA members' number one concern
11 is fixing or even eliminating the requirement for
12 variances for laser light show producers.

13 Our main topic today is these high-powered
14 handheld laser pointers and more powerful lasers
15 like this one-watt Wicked Laser that many people
16 have heard about and seen on the internet and you
17 can buy for a couple hundred dollars.

18 The main problem with lasers like this is
19 surprisingly not eye safety. Since these one-watt
20 lasers were commercially introduced last summer,
21 there have been no reported injuries to the best of

22 my knowledge.

23 The main problem is the misuse of laser

24 pointers by the general public against aircraft.

25 About seven times every night in the United States,

1 pilots report seeing or being illuminated by laser
2 light.

3 As a public service, we've been working to
4 reduce these incidents. I should note we have no
5 involvement in this. It is really nothing to what
6 our members do everyday, but we do this as a public
7 service and we want the public to have a positive
8 view of lasers.

9 The aviation issue is a complex one with no
10 single solution. Every party, from pilots to laser
11 enthusiasts to regulators, has a part to play.

12 The CDRH can help by leading efforts for a
13 voluntary or mandatory Aviation Safety Label on
14 pointers and handheld lasers.

15 In fact, this one is one of the only brands
16 that has one that says: Warning. Do not shine your
17 laser at an aircraft. Shooting an aircraft is
18 considered a felony in the U.S.

19 We would ask CDRH to again do a voluntary or
20 mandatory standard to put this on lasers the same as
21 they already have a label about this eye scan and

22 fire hazards. This alone won't solve the problem,
23 but it is one important step. And there is very
24 little cost for manufacturers, because they have to
25 put the labels on anyway.

1 Finally, there is one concern regarding CDRH's
2 new approach to regulating handheld lasers by
3 incorrectly reinterpreting 21 CFR 1040.10. I
4 appreciate that CDRH staff is being creative, and I
5 support their general intention; however, their
6 actual implementation of this is simply and
7 completely wrong. It does not make sense legally
8 and does not even make sense using plain English
9 language.

10 The situation is that now CDRH is trying to
11 regulate laser pointers and handheld lasers like
12 this one by saying that this is a surveying,
13 leveling and alignment product, or an SLA laser.
14 The idea is, because of being a straight line, it
15 can be used to make a straight line in surveying;
16 however, this would mean that all lasers would be
17 surveying, leveling and alignment products since
18 they all put out a straight line.

19 Even a cursory inspection of the SLA uses
20 listed in 21 CFR 1040.10(b)(39) shows that these do
21 not apply either to pointers or handheld lasers. If

22 this interpretation were correct, then me thrusting
23 out my arm and saying "look over there" means that I
24 am somehow being involved now in surveying,
25 leveling, alignment and angular measurement,

1 positioning parts or defining a straight line. I
2 don't think anyone can legitimately argue that this
3 is the case.

4 I've written to Daniel Hewett of CDRH, a great
5 guy, with many more details about the SLA
6 requirements and why they don't apply to laser
7 pointers or handheld lasers. While there certainly
8 should be regulation of these lasers, it should be
9 done by appropriate statutory means and not in a
10 1984 sense of creating false meanings for clearly
11 understandable English words.

12 In conclusion, ILDA has two main concerns. One
13 is that variance system for our members' laser light
14 shows, which is broken. The other is with laser
15 pointers where we ask that, one, CDRH lead the
16 effort for mandatory Aviation Safety Labels and,
17 two, do not misapply existing regulatory authority
18 for SLA lasers.

19 Thank you.

20 MS. HEATHER HOWELL: James Hardy, Consumers For
21 Dental Choice.

22 MR. JAMES HARDY: I want to thank the FDA for
23 hosting this town meeting and listening to all
24 different points of view on different issues.
25 I'm here as a mercury-free dentist and I

1 learned about the mercury issue as a freshman in
2 dental school in 1978. When I heard the fillings
3 were 45 to 70 percent mercury, I raised my hand. I
4 said, "What about the Minimata Bay disaster in Japan
5 where there were a huge number of birth defects,
6 stillborns and other things happening in Minimata
7 Bay and it turned out it was mercury causing the
8 problem, and what about the FDA taking tuna fish off
9 the shelves when it is one part per million or more
10 and we are putting in fillings that are chewed on
11 for 20 or 30 years at 700,000 parts per million, how
12 is that safe?"

13 My professor said "Well, it's all tied up." I
14 said, "Well, if it's all tied up, why did they break
15 down?"

16 They break down because the mercury comes out
17 and it has been shown time and time again that
18 mercury comes out of the fillings 24 hours a day,
19 sometimes at a greater rate, sometimes at a lesser
20 rate. Every 10 degrees rise in temperature doubles
21 the amount of mercury vapor that comes off the

22 fillings.

23 Now, there is no need to use mercury fillings

24 anymore. They were brought over here from Europe in

25 1833 before the Civil War. They are very old

1 technology. They are not as good as the new
2 composites. I've been a dentist for nearly
3 30 years. I have never placed a mercury filling and
4 I have not found a need to have a mercury filling in
5 any restoration, that I couldn't use something else,
6 that is safer, that doesn't contain a heavy metal.
7 So, there is really no need to use mercury.

8 Now, there is a cradle to the grave problem
9 with mercury fillings and that is when you first buy
10 the mercury filling, you buy it in capsules. Those
11 capsules can break, or after you shake them up and
12 open them, that stuff can fall on the floor and it
13 will contaminate the office. So, there is a real
14 estate problem here.

15 Dental practices that have been in offices for
16 awhile have most -- most of them anyway, probably,
17 and if not all of them, have had a mercury spill
18 somewhere so there is mercury inside the office. If
19 someone came in during the sale of that piece of
20 real estate and had a mercury vapor analyzer, like a
21 Jerome mercury vapor analyzer, and analyzed the air

22 in that office they would say, "Well, this real
23 estate really shouldn't be sold. It should be
24 plowed under because of the contamination in the
25 air."

1 There is another problem that the piece of
2 mercury that the piece of mercury that is not put
3 into the patient's tooth has to be treated as a
4 hazardous waste from cradle to grave; in other
5 words, from the dental office to the recycler, you
6 have to have paper work that shows that it was
7 disposed of properly.

8 After an amalgam is taken out of a patient's
9 tooth, it also is a hazardous substance; but,
10 somehow inside the tooth it is not. I don't quite
11 understand that and any reasonable person should not
12 be able to understand that.

13 Now, mercury fillings have caused other
14 problems too, and I want to read you a quick story.

15 It was late August in 1989. The place was
16 Michigan. Four people, two men and two women, who
17 lived in the same home lay dead. Less than a month
18 earlier, they were hospitalized after complaining of
19 chest pain, diarrhea, nausea and shortness of
20 breath. Their breathing became more labored and
21 difficult.

22 Four days into their hospital stay, it was
23 learned that one of the men had been collecting
24 mercury fillings. He had been heating them up in
25 the basement so he could extract the small amount of

1 silver from the fillings to sell.

2 Substantial respiratory support along with
3 aggressive medical procedures to remove mercury from
4 their bodies was initiated to no avail. All four
5 died of mercury poisoning. Their house was
6 extensively cleaned in the hopes of removing
7 mercury; but, the cleaning failed and the
8 contaminated house was declared unfit for
9 habitation. It had to be torn down.

10 We can only hope that the mercury laden rubble
11 was disposed of as hazardous waste.

12 This is the same filling that may be in your
13 mouth right now.

14 When a substance can create problems from
15 cradle to grave and there are plenty of good
16 substitutes to replace it, it makes absolutely no
17 sense to continue using it. So, I'm here to support
18 ban on mercury used in dentistry. It is really the
19 only, the only branch of medicine that uses
20 something as dangerous as an implant inside the
21 human body.

22 Thank you.

23 MS. HEATHER HOWELL: Our next speaker is Lance

24 Giller, a medical device manufacturer.

25 MR. LANCE GILLER: Hello. We're a small family

1 business in Sebring, Florida. It is basically me,
2 my father, my uncle, and my little brother works
3 part time. And the manufacturer registration fees
4 that we have to pay every year, this past year were
5 just under one percent of our gross.

6 If Welch Allyn had to pay or Siemens or any of
7 the large manufacturers had to pay one percent of
8 their growth to the FDA to register, they would be
9 up here hopping up and down screaming. It is an
10 extreme burden for a shop as small as ours.

11 Last December, we basically sent what could
12 have been Christmas bonuses for our family to the
13 FDA. And then you have other things coming up that
14 you need device identification, which is still
15 somewhat of a mystery to us how it is going to be
16 implemented, and we're a little concerned about how
17 much it is going to cost us.

18 And sorry I don't have much more to comment,
19 but we had a very simple concern. Thank you.

20 MS. HEATHER HOWELL: Our next speaker is
21 Ms. Rebecca Lock.

22 MS. REBECCA LOCK: Hi. I want to thank you

23 guys so much for listening to our concerns.

24 I'm here for my mom to speak about mercury

25 toxicity in amalgam fillings, and my name is

1 Rebecca. I'm going briefly to tell her story and
2 then just give some facts about mercury poisoning.

3 As a child, my mom was exposed to mercury in
4 the form of the antiseptic mercurochrome after
5 seriously cutting her knee. She was given a large
6 dose and afterwards hospitalized and she was told by
7 doctors or her parents were told she wasn't able to
8 walk again.

9 She did however recover from that and she was
10 able to walk. However, she did have fatigue and
11 muscle pain and poor balance for the rest of her
12 adolescence and childhood.

13 After getting a silver filling when she was a
14 teenager, she experienced more problems and then, as
15 an adult, she got another silver filling and then I
16 came along and she was diagnosed with MS shortly
17 afterwards.

18 As a child, I remember her not being able to
19 walk, having to lay down a lot, not being able to
20 see out of one eye. Very tragic things for a mother
21 with young children.

22 A few years later, she watched a special on
23 60 Minutes on the possibility of the link between
24 toxic exposure to mercury through dental fillings
25 and neurological dysfunction. After having her

1 fillings removed, she was free from new symptoms of
2 MS and she actually seemed to be getting better, and
3 this has been consistent with thousands of other
4 stories where similar situations have happened.

5 She unknowingly was exposed to mercury again a
6 few years later when she got a flu shot, and they
7 confirmed there was thimerosal in the flu shot. She
8 had an MS attack and we wondered why. Sure enough,
9 we looked back, and the flu shot she had had
10 thimerosal. And usually, her attacks would happen
11 very shortly after exposure, almost the same day
12 sometimes.

13 She then again was exposed through some
14 eardrops that were put in her ears and they again
15 contained thimerosal. She didn't think to check
16 them. And she now is pretty much wheelchair bound
17 and she pretty much can't function normally. That's
18 why she's not here today and she asked me to come
19 and speak for her. She can't be the grandmother she
20 wants to be to my kids and she, of course, hasn't
21 been able to pursue many of her own dreams as well.

22 That's my mom's story. And I would like to

23 thank you so much for listening to us.

24 I also would like to remind you about some

25 facts about mercury poisoning and they are

1 documented by many studies.

2 Mercury, of course, as we all know, is a highly
3 toxic and confirmed as a neurotoxin. The leader of
4 the World Health Organization has stated that there
5 is no safe level of mercury.

6 A silver amalgam filling contains about
7 50 percent mercury. Amalgam fillings have never
8 been proven safe and they have never been -- I don't
9 even believe they've been tested for safety.

10 Mercury in amalgam does leak into the body, and
11 that's a scientific fact that has been shown in
12 studies.

13 Autopsies show that people who do have fillings
14 have higher concentrations of mercury in their
15 organs and tissues than people who do not, and many
16 times, I believe these are higher than safe
17 standards.

18 Mercury toxicity does produce symptoms of
19 nervous disorders, including tremors, blindness,
20 speech and vision problems, balance disorders,
21 mental dysfunction and retardation.

22 Mercury is used, as we all probably know, in
23 multidose vial vaccines as a preservative for many
24 medicines, cosmetics, cleaning chemicals and it is
25 even now in things like light bulbs. Common sense

1 alone tells me this isn't a good combination.

2 Why are dentists and hygienists given such
3 strict guidelines as Dr. Hardy probably mentioned
4 when we have it in our mouths? After an amalgam
5 filling, most of the time perhaps there is not
6 traceable problems; however, what about the times
7 when a body burden threshold is reached like the
8 case of my mom and someone does become ill?

9 Most doctors, I believe, wouldn't even diagnose
10 this properly, because like my mom, her symptoms
11 mimic many chronic diseases that are becoming much
12 more prevalent over the last hundred years, and I
13 find that interesting.

14 With millions of people who have these
15 fillings, isn't it skeptical to think that no one is
16 affected when there is virtually no limits, no
17 warnings and no precautions on amalgam fillings?

18 There have been thousands of people who have
19 suffered ill effects after dental amalgams who have
20 voiced their concerns, and thousands have been
21 documented in medical literature.

22 Also, there is many health professionals who
23 have warned against the use of mercury in fillings;
24 but, they are ignored, silenced and the evidence is
25 dismissed. I read that even dentists that even say

1 that they wanted to be mercury free or remove
2 fillings actually get punished or get -- they hear
3 it from the ADA for doing this.

4 And for the most part, America trusts her
5 government and regulatory agencies. Because of
6 this, Americans are blindly allowing this known
7 neurotoxin to be embedded in their own mouths and in
8 the mouths of their children.

9 I would like to respectfully appeal to you
10 distinguished members of the FDA.

11 Please accept responsibility to warn and
12 educate the American public from the dangers of
13 mercury poisoning.

14 Please make policies that will empower dentists
15 to have the responsibility and the opportunity to
16 talk to their patients without being punished by the
17 ADA.

18 Please do not let special interests, corruption
19 and politics come before human suffering.

20 Please remember the money this is costing
21 families, individuals and our weakened healthcare

22 system.

23 Please remember the thousands that are living

24 an impaired life with a debilitating condition, and

25 please remember my mom.

1 As we look back in history, we can see obvious
2 mistakes made by generations before us, by
3 institutions that shaped popular culture and policy,
4 and I believe again in the future this will happen
5 to our shame if we refuse to act on the issue of
6 amalgam fillings.

7 I want to thank you so very much again, and God
8 bless you.

9 MS. HEATHER HOWELL: Mr. Greg Makhov, Laser
10 Lighting Systems, Incorporated.

11 MR. GREG MAKHOV: Good morning and thank you.
12 Once again, I will be departing from the medical
13 area, and certainly, we've been out numbered by the
14 people concerned with the mercury toxicity issues by
15 talking about laser displays.

16 I'm president of Lighting Systems Design,
17 Incorporated, here in Orlando, and I serve as the
18 chair of the laser safety committee for the
19 International Laser Display Association that Patrick
20 Murphy is the executive director of. I'm active in
21 the laser safety community. I'm a frequent

22 presenter at the International Laser Safety
23 Conference. I've also served on the G10 committee
24 of the SAE dealing with aerospace hazards of lasers,
25 the ANSI Z136.6 committee, and I also provide laser

1 safety training with Rockwell Laser Industries, one
2 of the laser safety consultants, one of the largest
3 ones in the U.S.

4 Specifically what I want to talk about is the
5 variance process as it affects us in the laser
6 display community.

7 The CDRH standard limits products considered as
8 demonstration laser products to a maximum power of 5
9 milliwatts and a variance from the standard is
10 required for products that exceed this level. Most
11 laser display products for the past 30 plus years
12 have exceeded this level by necessity and each
13 product therefore requires a variance. Moreover,
14 each user of the laser display products also
15 required a variance as the CDRH considered a laser
16 light show, that is not the product, but the show
17 that's done with the product as a laser product, and
18 therefore, subject to the standard.

19 Much has changed in the 30 years since then,
20 including the advent of high-powered diode lasers
21 and DPSS lasers, which have greatly decreased the

22 size, cost and complexity of a modern laser display.
23 5 watt DPSS lasers, 1 watt diode lasers, such as
24 what Mr. Murphy was showing, are very common and
25 amazingly cheap. Virtually anybody can buy a

1 Class IV laser system and immediately start using it
2 for virtually any purpose they desire.

3 Let's be honest. Most people have never heard
4 of the CDRH. They are lucky to have heard of FDA.

5 Online retailers now offer a variety of laser
6 display products in excess of 5 milliwatts and most
7 do not indicate there is any government regulation
8 whatsoever. Right now, you can buy laser light show
9 products on eBay in the multiwatt range and put them
10 to immediate use. You won't even be informed that
11 there is any regulations about how to use it safely.

12 However, if one obtains a variance, one also
13 promises to not deliver a laser system to, quote,
14 and this is from the variance form, any other party
15 under an agreement of sale, lease or loan unless and
16 until the recipient demonstrates they have a
17 variance in effect at the time of delivery.

18 This would make sense if a variance could be
19 obtained in a few days. Yet, being honest about
20 this, the variance process takes months or even
21 years for a variance to be granted. Even a

22 completely boiler plate application by a known

23 provider can take over a year to process.

24 So, the customer can buy a laser display

25 product online and no strings attached, or they can

1 buy it from a laser light show company with a
2 variance and wait for their variance to be granted
3 before taking delivery.

4 What do you think people will do? Of course,
5 they'll buy it online and no strings attached.

6 With the change in the laser display products
7 creating a much higher volume than in the past, it's
8 become apparent to us that the number of users out
9 there, people who want to do laser light shows,
10 exceeds the realistic capability of CDRH both from a
11 process and enforcement viewpoint. There simply
12 aren't enough people to deal with this.

13 Also, it is unrealistic in the current
14 financial situation to have any hope for budget
15 increases that would provide the manpower to cope
16 with this increased volume.

17 So, speaking for the laser display community, I
18 recommend the following step and this is a bit
19 radical so I'm not surprised that people may push
20 back against this.

21 We need to remove the variance requirement for

22 laser light shows. The logic is simple. The laser
23 light show, as a product, was a legal sleight of
24 hand, in my opinion, that was implemented in the
25 early days of CDRH, back then the BRH, because of

1 people like David and Fonti going around doing
2 mirror ball scanning and audience scanning and
3 basically scaring people. Yes, it is terrifying the
4 first time you see a multiwatt laser going into a
5 mirror ball.

6 But in the ensuing 30 years, the number of
7 documented laser injuries, that's really what we're
8 talking about when we're talking about regulation,
9 injuries versus regulation, are statistically
10 insignificant.

11 Patrick Murphy mentioned how many exposures
12 there have been, and we can count on a couple hands
13 how many injuries worldwide have been reported and
14 documented. Outside the U.S. where there are
15 essentially no regulations and, of course, there are
16 a few exceptions to that, and horrible things like
17 audience scanning are common and daily practice, we
18 are not seeing an epidemic of eye injuries so the
19 impetus for the regulation is now somewhat
20 questionable.

21 We're not seeing injuries so why are we

22 regulating the practice?

23 The reality is that only those familiar with

24 CDRH are following the rules. Any number of DJ

25 outfits, nightclubs, mobile entertainers are doing

1 whatever they want and no one is there to stop them.
2 Only large corporations with liabilities and
3 professional laser safety personnel are really
4 following the rules and maybe not even then. We can
5 talk stories there.

6 I think we can and should keep the variance
7 requirement for manufacturers, manufacturers have
8 more knowledge, more responsibility, and that we
9 should keep record keeping requirements for the
10 sales and installation of lasers, who bought these,
11 where did they go, but we need to rescind the
12 onerous and useless variance requirement for the end
13 user. It is not serving any purpose at this point
14 other than as a scare tactic and it is more often an
15 embarrassment for the professionals.

16 What we hope, in summary, is that CDRH would
17 rescind the variance requirement for laser light
18 shows, that light shows should be designed and
19 operated in accordance with ANSI and IEC conditions,
20 pretty much the same conditions we have in the
21 variance. The manufacturers would still have the

22 variance requirements since they are above the
23 5 milliwatts. The manufacturers should document
24 sales and installations of the equipment, and
25 therefore, CDRH could focus more on rogue

1 manufacturers and the online sales of noncompliant
2 products.

3 Thank you very much.

4 MS. HEATHER HOWELL: Next speaker H.L. Sam
5 Queen, The Institute For Health Realities.

6 MR. H.L. SAM QUEEN: Thank you for this
7 opportunity. I believe you will have copies of my
8 speech here today. I'm the director of Institute
9 For Health Realities out in Colorado Springs, and we
10 developed the first objectively major health model.

11 I also was the author of the first medical
12 reference book on chronic mercury toxicity. That
13 came about from my own poisoning which was not from
14 dental amalgam. It was working in the clinic
15 laboratory back in the fifties and sixties. OSHA
16 came by and removed that instrument. It doesn't
17 happen anymore.

18 But as we listen here today and sit, understand
19 that we're moving at 65,000 miles an hour, going
20 around our big sun. We're really moving. You
21 always wonder the big question is: Why doesn't our

22 atmosphere just blow up at that speed?

23 I'm not sure why we're talking about amalgam,

24 except that amalgam is just part of a bigger story.

25 Mercury is so incredibly toxic material. It's very

1 persistent. We can throw away a lot of chemicals
2 into our depositories and they tend to degrade.
3 Mercury has a hard time being able to do that and
4 one reason is the fact that it vaporizes, it takes
5 on a number of different reactions.

6 I'm also a member of the American chemical
7 society.

8 MS. HEATHER HOWELL: Excuse me, Mr. Queen. Can
9 you use the microphone, please?

10 MR. H.L. SAM QUEEN: I'm also a member of the
11 American Chemical Society, and this is the
12 international year of chemistry. And it is very
13 interesting that we're trying very hard in the
14 American Chemical Society to get rid of all further
15 use of mercury. Everybody is.

16 The mercury project is really a phenomenal
17 idea. We used to have a lot of mercury we used for
18 the production of plastics. We don't do that
19 anymore. We found a new way. But China, you know,
20 they are still using it the old way, and one of the
21 big problems we've got, we've got 500,000, excuse

22 me, we have 5,000 metric tons of mercury stored
23 underground as a result of our Nuclear Weapons Plan
24 when we used to use mercury for the enrichment of
25 lithium.

1 Now, we had an HR 1584 tried to get passed to
2 get something done to make sure nobody sold that.
3 Well, we're not sure what's going to happen to that.
4 We think that could be up for grabs to be sold.
5 Would you like to have that happen?

6 Well, the big problem that's happening in the
7 world today starts with mercury, itself. It is the
8 most toxic material and it is an absolutely time
9 bomb that we've got to do something about our own
10 exposure, we've got to tighten up things, and we
11 have to tighten up the whole world. Where we live
12 right now is a very small planet, a very small
13 place.

14 There has been an ongoing study of polar bears
15 since 1920, and a report just out this year shows
16 that, over the last 90 years, we have had an annual
17 increase of 1.6 percent rise in the level of hair
18 mercury in those polar bears.

19 Now, these are the canaries. There is two big
20 sets of canaries that we look at. One is the polar
21 bear and the other is the amphibians.

22 What's interesting about amphibians is the very
23 fact that they have their eggs and through maternal
24 transfer of mercury that's well established.
25 Well, what hasn't been established until this

1 year just reported in March of this year, the last
2 of March, what's very clear is that we use subject
3 the tadpoles that came from maternal exposures.
4 When you expose them to very small levels of mercury
5 from their diet, what happens is that they lose
6 their ability to be able to think apparently, if you
7 can imagine that, but they don't find their food
8 well, they don't swim as rapidly as they did, and
9 also they wind up having a mortality rate that's
10 somewhat higher than -- actually 50 percent higher
11 than you might expect in a creature so.

12 The idea is that it is not only just maternal
13 exposure. We know from bodyburden.org, that was an
14 interesting website at one time, where they studied
15 how many people -- how many toxins our people are
16 being exposed to everyday, and from those studies,
17 it is very clear there is nobody in this room that
18 is not exposed to a variety of toxins so it becomes
19 a point of who is going to be exposed. Who is going
20 to be exposed? Who is going to react to this?
21 Everybody is exposed. So, it is a matter of

22 reaction.

23 I think we've come to a point as a growing

24 population right now of people throughout the world

25 that we can no longer afford to place mercury

1 directly into people's mouth.

2 When you think about it, the American Dental
3 Association in their latest, if you pick up their
4 latest journal, what you'll see is that they are
5 bragging now about being green. And in being green,
6 they are asking their followers now to use the
7 mercury separators because it is somewhat law that
8 they have to be able to handle that mercury once it
9 comes out of somebody's mouth in a very careful way,
10 and if you don't do that, we're getting in trouble.
11 Environmentally, we're getting in trouble.

12 The effect of mercury in 1850 is different
13 globally than it is today. We know that the
14 scientists, environmental scientists, doing the bear
15 study are showing us right now that, what,
16 95 percent of that mercury those bears are getting
17 is coming from human activity. It is our activity.

18 We are in this thing together. This is not --
19 this is not just the FDA decisions. This is
20 everybody's decision right now. We are in real big
21 trouble.

22 Here's the thing that -- I'm also an
23 investigating medical reporter. One of the things
24 we have --

25 MS. HEATHER HOWELL: Thank you, Mr. Queen. I'm

1 sorry, we have to move to our next speaker.

2 MR. H.L. SAM QUEEN: Thank you.

3 MS. HEATHER HOWELL: John Kuseck, ConMed

4 Linvatech.

5 Darlene D'Angelo?

6 (SPEAKER FOR MS. DARLENE D'ANGELO): Thank you.

7 Darlene D'Angelo was unable to make it today. She

8 was too ill to be able to be here with us so I was

9 asked to read her statement.

10 Hello. I'm reading this testimony written by

11 Darlene D'Angelo from North Palm Beach, Florida, who

12 was an injured mercury poisoning consumer. I would

13 like to be here in person, but due to my disabling

14 health symptoms from mercury poisoning I am not able

15 to endure two days of traveling and activities.

16 Since the onset of mercury poisoning, I battle

17 everyday with severe chronic fatigue, malaise,

18 chronic and sporadic pain. On bad days, I wake up

19 with chronic and sporadic pain and feel completely

20 drained. I cannot function and I need to stay in

21 bed most of the day.

22 On better days, I wake up with chronic and
23 sporadic pain and moderate fatigue, and I try to
24 endure a few hours of functioning, but my energy
25 level decreases rapidly and I need naps and

1 relaxation time everyday for a few hours.

2 I've been stricken with acute mercury
3 poisoning. I had a silver filling drilled out and
4 replaced with a white filling in August 2, 2010,
5 nine months ago when I was 46 years old. Within a
6 few weeks, I developed over 25 chronic and sporadic
7 neurological and health symptoms, including extreme
8 fatigue, malaise, extreme memory and concentration
9 impairment, severe depression and anxiety, chronic
10 and sporadic symptoms of leg and muscle pain,
11 twitching, back and shoulder muscle pain, tingling
12 in my hands and feet, numb hands, feet pain, ears
13 ringing, ear pain, episodes of hearing loss, eye
14 pain, blurry eyesight, chest pain, heart
15 palpitations, fainting episodes, shortness of
16 breath, hypoglycemia, abdominal pain, headaches,
17 irritable bowel episodes, teeth and jaw pain, cold
18 hands and feet, difficulty urinating, sweating
19 episodes, insomnia and sleep disturbances, et
20 cetera.

21 I went to many doctors and had multiple tests

22 done. I have been diagnosed with fibromyalgia
23 syndrome, chronic fatigue syndrome, which is one of
24 the main causes from mercury poisoning.
25 The unfortunate situation is that most doctors

1 are ignorant to the symptoms related to mercury
2 poisoning and most dentists don't inform their
3 patients of the potential dangers of fillings
4 being -- mercury fillings being filled or removing
5 mercury fillings in their teeth, despite thousands
6 of scientific studies proving that they are a hazard
7 to human life and the environment.

8 I had to do my own research to find out what
9 happened to me. I learned that mercury poisoning is
10 difficult to test and diagnose and that most doctors
11 don't consider the possibility that chronic or acute
12 exposure to mercury from amalgam fillings can be at
13 the root of over a hundred symptoms.

14 Mercury is known to kill cells and can cause
15 serious diseases of neurological system, mental
16 disorders, immune diseases and cancer. At this
17 time, Sweden, Denmark and Norway have banned mercury
18 fillings and many other countries require health
19 warnings.

20 A schedule to phase out mercury require
21 controls on dental waste.

22 California, Maine and New Hampshire require
23 dentists to warn about mercury dangers.

24 My question to the FDA panel is: When will the
25 FDA require all dentists to warn patients about

1 mercury poisoning dangers and the proper methodology
2 and protocols with special techniques and equipment
3 which would reduce 90 percent of mercury exposure,
4 according to a study published by the Sweden
5 government, and inform the doctors and the public
6 about mercury poisoning from silver fillings, which
7 is the second most toxic non-radioactive element in
8 the face of this earth as they did for lead?

9 Thank you.

10 MS. HEATHER HOWELL: Jocelyn Jennings.

11 MS. JOCELYN JENNINGS: Good morning. My name
12 is Jocelyn Jennings. I'm a regulatory professional
13 and I work at BioMerieux, Inc. It is an in vitro
14 medical device company.

15 So, thank you for having this town hall
16 meeting. I had never been to one before. I'm
17 finding it extremely interesting and I'm glad that I
18 was able to participate.

19 So, I wanted to bring just a few concerns that
20 I have as a regulatory professional working for an
21 in vitro diagnostic company. One is what the FDA

22 has talked about before about being transparent,

23 predictable and consistent in their reviews.

24 From a regulatory professional working at a

25 device company, it is very hard when you really

1 can't go from one 510(k) to another 510(k) and use
2 your experience from one to the other, especially
3 when you are working within the same division, which
4 is the division of microbiology and OIVD.

5 We would also respectfully state that a lot of
6 the advice that we get is not necessarily scientific
7 in its origin and we also are concerned that the
8 special 510(k) and abbreviated 510(k) do not seem to
9 have a place today with the FDA reviewers. We have
10 been discouraged from submitting special 510(k)s
11 especially.

12 The other concern is the long interactive
13 review periods that we have once our 510(k) has been
14 submitted, and while I will say on a positive note
15 that the interactions with the reviewers is very
16 timely, we can do it via e-mail which in the too
17 distant past was unthinkable. That is very helpful.
18 Sometimes it can be over burdensome. We, for
19 example, have had one instructions for use changed
20 about four times by the same reviewer, some of it
21 the same wording that's been reworded, and that puts

22 an extra burden on us. We've had to ask for at
23 least two 60-day extensions and we're running up to
24 maybe having to ask for a third one.

25 So, we would just like to state that while we

1 like the interactive review and we like being able
2 to interact with the reviewers via e-mail and
3 telephone, that it would be helpful to have it if it
4 is IFU, or whatever, reviewed one time, everything
5 reviewed, instead of multiple times.

6 The other issue I wanted to bring forth is
7 requiring unnecessary 510(k)s. We have a product
8 that's a blood culture product. It will be a new
9 instrument and we have a bottle that is regulated by
10 Cebert that goes with that instrument and we're now
11 told that we have to do two 510(k)s, and I can't get
12 anyone either in Cebert or CDRH to explain to me why
13 especially because for Cebert's point of view
14 they -- the regulation of that instrument is not
15 under their purview. So, what would the review from
16 Cebert really gain us?

17 And a question that I had was because I work
18 specifically with microbiology and blood culture
19 instruments was whether or not the FDA still
20 considered the 1991 blood culture guidance document
21 relevant, and if so, were there any plans to update

22 it and make it final, because it is still draft and

23 again it is from 1991.

24 And on a positive note, I would like to say

25 that the pre-IDE process we had found has been very

1 instrumental in assisting us in conducting our
2 preclinical and clinical studies to meet the FDA's
3 expectations and get our products cleared.

4 Thank you.

5 MS. HEATHER HOWELL: Ms. Freya Koss.

6 MS. FREYA KOSS: Good morning. Thank you for
7 allowing us to be here, Dr. Shuren. I am one of
8 millions of Americans who have suffered grave health
9 consequences as a result of mercury poisoning from
10 my dental fillings. My name is Freya Koss. I am a
11 director of the Pennsylvania Coalition For Mercury
12 Free Dentistry, and I'm here to express my deep
13 concern about FDA's continued suppression of the
14 scientific evidence supporting the serious health
15 effects of mercury dental fillings.

16 In 1998, I was suddenly struck with double
17 vision, drooping eyelids and loss of equilibrium and
18 many other neurological effects. I would like to
19 show you, if you haven't seen this yet. This is
20 what I looked like seven days after I had an amalgam
21 filling placed. That was in 1998.

22 Four years later, after amalgam removal and a
23 treatment of detoxification, that's what I look
24 like.
25 As you can see, I look like I was hit by a Mack

1 truck. I looked like that for four years.

2 Based on my symptoms, five neurologists
3 diagnosed me with either lupus, multiple sclerosis,
4 which you've heard from several other people today,
5 or myasthenia gravis. In the end, I had myasthenia
6 gravis from mercury fillings.

7 Not one of these doctors considered that my
8 symptoms were or could have been from dental
9 fillings. That's a real problem in medicine today.
10 They don't look at mercury as a possible cause for
11 any autoimmune disease or neurological problem.

12 According to them, there was no known etiology
13 cause of any of these diseases and no hope that I
14 would get better. In fact, a neurologist at
15 University of Pennsylvania Hospital told me that I
16 better get used to being sick because I was going to
17 be sick for the rest of my life.

18 I rejected those diagnoses, and if I hadn't
19 done my own homework, I wouldn't be here today to
20 tell you the story.

21 At the time I was unaware that silver-colored

22 fillings were actually 50 percent mercury, a known
23 neuro toxin. If I had been advised by my dentist
24 that a silver filling was mercury, I never would
25 have allowed that filling to be placed in my teeth

1 and I certainly wouldn't have allowed mercury
2 fillings to be placed in my children's teeth, but I
3 did.

4 The warnings listed in the material safety data
5 sheet for dental amalgam only seen by the dentists,
6 never the patient, are frightening. For example,
7 mercury is a pulmonary sensitizer, a neurotoxin, and
8 amalgams are contraindicated for patients with
9 kidney insufficiency, in children under six, and
10 expectant women.

11 Parents and expectant women are not told that
12 there is mercury in a silver filling. They sit in
13 that chair and they get those mercury fillings, and
14 they never see those warnings on the material safety
15 data sheet that the dentist sees.

16 I ask you, Dr. Shuren, how is it that FDA
17 continues to officially endorse this toxic product
18 as safe and effective with no risks?

19 Would you condone that filling for your
20 children?

21 The very scientists hand picked by FDA in 2006

22 and 2010 to evaluate the risks of amalgam have told
23 FDA that mercury doesn't belong in the teeth of
24 children or pregnant women. The same scientists
25 recommended labelling amalgam as mercury, not

1 silver. Yet, FDA remains static, taking no steps to
2 educate parents or protect children.

3 In March 2011, the U.S. State Department
4 endorsed a phase out of mercury fillings, asking all
5 governments of the world to protect children and
6 fetuses from mercury. The Scandinavian countries
7 banned amalgam in 2009.

8 Canada issued mercury amalgam warnings for
9 children and pregnant women in 1996. Considering
10 the removal of mercury from pet and childhood
11 vaccines, contact lens solution, Mercurochrome,
12 thermometers, thermostats, car switches, and blood
13 pressure machines.

14 When will FDA remove mercury from amalgam
15 dental fillings? If you continue to dally,
16 Dr. Shuren, the children of America will remain
17 guinea pigs in having their health sacrificed to
18 protect the faction of dentistry that clings to this
19 pre Civil War primitive product. My hope is that
20 you will hear us.

21 MS. HEATHER HOWELL: Thank you. We need to

22 move on.

23 MS. FREYA KOSS: Can I just finish this

24 sentence?

25 MS. HEATHER HOWELL: Yes.

1 MS. FREYA KOSS: Thank you.

2 My hope is that you will hear us loud and
3 clear, that you will acknowledge the science, and
4 that you will do what's necessary to protect our
5 future generations by banning the use of mercury in
6 dentistry.

7 Thank you.

8 MS. HEATHER HOWELL: Our next speaker is
9 Mr. Frank Levy.

10 MR. FRANK LEVY: Good morning. Thank you for
11 the opportunity to speak, Dr. Shuren, and your
12 staff, as well.

13 I'm Dr. Frank Levy. I've had the opportunity
14 to work over the past several years with some of the
15 leading physicians in medical grade CO2 usage.

16 There is growing concern in the medical field
17 as to the FDA's standpoint on medical grade CO2 and
18 the use in medical procedures. The European
19 community has already addressed medical CO2 and
20 freely allow its medical use.

21 Although medical CO2 has been used for over

22 50 years, the FDA still hasn't defined it, how it is
23 regulated. It is uncertain as to whether it is
24 considered a drug or a displacement gas.
25 It is our understanding the FDA has not

1 addressed the issue merely because they feel the
2 physicians are using it without the regulations
3 safely and for over 50 years so really have made no
4 effort to clarify it.

5 This has impeded the progress of medical
6 developments regarding the use of medical CO₂. Many
7 physicians will not use the medical CO₂ until such
8 time that the FDA concludes it is an approved
9 medical gas.

10 Currently, medical CO₂ is being used for
11 insufflation, laparoscopies, tissue separation,
12 venous procedures, angiography procedures and many
13 more medical procedures.

14 Medical CO₂ gas does not change the molecular
15 structure of cells in the body and is merely a
16 displacement gas. It is actually a natural
17 by-product of the human body. An angiography
18 procedure is much safer and much less expensive than
19 contrast media. It is the contrast agent of
20 preference for use in renal patients and patients
21 with diabetes. Renal patients can have adverse

22 reactions to contrast agents, resulting in weeks of
23 hospitalization and sometimes years of or lifetime
24 of dialysis.

25 With the use of CO₂ in imaging tumors to the

1 pancreas, liver and other critical organs can be
2 detected and treated months, if not years, in
3 advance of contrast agents, making it a more
4 treatable condition than today when you find out
5 what type of tumors in the liver and pancreas can do
6 when finally found, you know, as a life-threatening
7 problem.

8 There is no allergy risk to patients exposed to
9 CO2 in the medical procedures, where it cannot be
10 said for many other agents used in medicine.

11 If the FDA were to allowed physicians to use
12 medical CO2, there would be significant saving in
13 money and lives.

14 My question is: How can we work as physicians
15 with the FDA to regulate CO2 as a displacement gas
16 and allowing more physicians to use this?

17 It is safer. It would save money for the
18 doctors, insurance company and patients, billions of
19 dollars. You could visualize liver, pancreatic and
20 renal artery tumors much earlier and, of course,
21 save lives by doing that. More doctors would use

22 it, of course, because they would feel more
23 comfortable not using an off-label gas, which they
24 have been using for 50 years as very safe, and we
25 would eliminate the complication long hospital stays

1 and dialysis as well as have better imaging.

2 So, I appeal to the FDA to see how we can work
3 together and doctors and see how we can make this a
4 more viable procedure or procedures used for
5 procedures so that all these advantages can be
6 reacted and seen.

7 So, thank you so much for your help.

8 MS. HEATHER HOWELL: Dr. Kirk Youngman.

9 DR. KIRK YOUNGMAN: All right. I had a little
10 technical difficulty here and couldn't print. I
11 didn't bring this up here because I'm high tech.

12 So, my name is Kirk Youngman. I'm a dentist.
13 I also graduated from Washington University of Saint
14 Louis. I was in a class behind Dr. Hardy here. I
15 wasn't bright or brave enough to ask a question
16 about mercury. I just listened to the lectures and
17 got through everything as best I could.

18 But as time would have it, I actually spent
19 time in the public health service after dental
20 school in the Indian health service and after that
21 in migrant farm care, all following my accepting a

22 national service corps scholarship. So, I
23 understand the confines of working in that
24 environment also.
25 I became interested and cognizant of the fact

1 that mercury in amalgam fillings is a neurotoxin and
2 not just an innocuous ingredient in 1992. I started
3 private practice in 1991.

4 I'm here to address what I consider the recent
5 lack of regard of mercury as represented by amalgam
6 as a toxic device. I don't know how it's possible
7 that I can add more given all that's been said, and
8 I'm sure many of you may agree with that, but I'll
9 go on since I did drive two hours to come here.

10 I do want to emphasize that I feel it is an
11 important distinction to speak of mercury and its
12 toxic properties, which are not ameliorated or
13 eliminated when mixed with metal and implanted into
14 teeth calling it amalgam as I have been told as a
15 dental student and dentist, and so, I'm not talking
16 about what I consider a made-up word for mercury
17 filling called amalgam, and I'm not addressing or
18 discussing anything to related amalgam's promulgated
19 innocence of negative consequences as regards local
20 or systemic disease.

21 That amalgams release mercury is why I'm an

22 interested party addressing the significance of its
23 properties as far as it's recognized as a
24 neurotoxin. It is my experience that addressing of
25 amalgam as amalgam's meant to obfuscate it having

1 any causative role in adverse reactions in the body,
2 ignoring the truth that it is a filling emitting
3 mercury, which is the causative agent that is under
4 scrutiny and the true criminal of this subject at
5 hand. Again, amalgams are the source of this known
6 and regulated neurotoxin, and I feel it is important
7 to make this distinction. Again, there are those in
8 my profession who make less of amalgam fillings,
9 deflecting the guilt by ignoring or avoiding the
10 fact that they do emit mercury as is evidenced by
11 the fact that even the dissemination of information
12 to dentists as regards to this one simple point, the
13 emitting of vapor. Until 1984, it wasn't even
14 mentioned by the ADA when they conceded that mercury
15 vapor indeed does come off.

16 The more I saw data or looked for data that
17 validated this new information, right, that mercury
18 was a neurotoxin actually emitted from mercury
19 fillings, the more that I became interested.

20 So, I know you, as the FDA, are aware of the
21 science and that other speakers have spoken to you

22 at length regarding that science, more people today
23 have also, and it is my opinion that the science has
24 to be being ignored, misrepresented, abdicated
25 devaluation by people not credible to give

1 scientific importance to it or otherwise resisted,
2 and for that reason, I'm not going to lay down
3 papers and quote what you already know as it is in
4 our own publications other than to substantiate, you
5 know, what I'm going to be saying.

6 So, what I want to know and will address is
7 that given all the agreed upon scientific truths
8 regarding mercury's properties, its level of
9 neurotoxicity, the fact that it volatilizes at room
10 temperature, its instability and increasing
11 volatility with increasing temperature and agitation
12 as occurs in the mouth, the fact that it is absorbed
13 readily into human tissues and demonstratively
14 creates adverse biological effects on living
15 organisms, and most importantly man that we're
16 talking about, although I have a huge affinity for
17 polar bears, how is it possible that it is being
18 justified to being put into virtually any and every
19 person in the world's teeth by a dental organization
20 with a specious reasoning that its combination with
21 other metals as a dental filling material renders it

22 safe because in the fact, and I'm sorry, when in
23 fact the justifications for its use is due to its
24 low expense and the fact that it's been used for
25 well over a hundred years, ignoring voluminous

1 scientific data for that entire time?

2 I have no idea what that has to do with
3 scientific data or evidence-based research. Not
4 only that, but I've said facts are further obscured
5 by using the word, amalgam, in negating its
6 causation of disease when, in fact, the truth is
7 we're all talking about the emitting and absorption
8 of mercury from a mercury-contaminated filling and
9 the resultant pathophysiology as well as
10 symptomatology observed and identified in any
11 medical publication on toxicity.

12 This obfuscation of the facts and position that
13 is taken by amalgam protagonists is offensive,
14 ingenuous, unscientific, harmful, misdirective,
15 purposely altering the importance of a vitally
16 important issue and where it limits the public to
17 desire dental and medical care, I feel it's criminal
18 and even inhumane.

19 I would like to make an analogy to point out
20 the misuse and obfuscation of facts and terms that I
21 am talking about, and that to say amalgam is safe is

22 not unlike a statement of generality that food is
23 safe to eat, water to drink, air to breathe, clothes
24 to wear or houses to live in; but, if the food,
25 water, air, clothes or houses are contaminated with

1 a known neurotoxin that one is being exposed and
2 being contaminated with and in as occurs with
3 mercury implanted in amalgam, and then to say these
4 things are safe is now not a true statement.

5 To call them safe when they are contaminated
6 with a known neurotoxin and encouraging the public
7 to feel safe using these contaminated items
8 mentioned would be ignorant at best and again
9 criminal at worst.

10 The fact in this instance at hand that the
11 known neurotoxin is an integral and permanent part
12 of amalgam should not justify mercury not being
13 named and recognized as the villain in this mixture,
14 nor justified giving it an innocuous name such as
15 amalgam to deflect and ignore such villainy.

16 We're talking about mercury and its causes,
17 known and unimpeachable, and why it should be
18 protected, should not be protected because it is
19 called an amalgam filling with no known disease
20 causation, and that its implantation in teeth be
21 discontinued in and as a dental restorative

22 material.

23 So, since I can't really duplicate and

24 understand the information as a dentist of 27 years,

25 I feel like I sort of need you need to explain to me

1 as a 12-year-old kid, not biased with my
2 professional education, how when you are attacking
3 mercury's existence -- when I say you are attacking
4 mercury's existence, I mean the FDA or trying to
5 limit in these venues -- when you are attacking
6 mercury's existence in air, water, food and every
7 other article we come in contact with, with firm
8 regulations and regulatory bodies, how it is you
9 allow the implantation of mercury laden fillings in
10 the citizens of the United States either implying or
11 stating outright it is safe in the face of the
12 following. And then I want to quote a few things.

13 The Food and Drug Administration, FDA,
14 Modernizing Act of 1997 called for the FDA to review
15 and assess the risks for all food and drugs
16 containing mercury. The toxicity of mercury and its
17 components have been recognized since antiquity and
18 are recognized and acknowledged in industry.

19 And then, again, it's been mentioned MS,
20 Alzheimer's, all these things, but when I'm looking
21 at mercury, no different than when lead is talked

22 about, which talked about its symptomatology with
23 mercury, tremors, neuromuscular weakness,
24 polyneuropathy, slurred speech, memory loss,
25 emotional lability, shyness, fatigue, headaches,

1 insomnia. It's a long list.

2 And I want to juxtapose that with lead because
3 when lead is talked about, here it is less toxic,
4 and yet, it is treated fairly and it's censored due
5 to its known cause of toxicity evidenced by -- I
6 have a following list which is not dissimilar to
7 mercury, because lead being irreversible
8 neurological damage, as well as renal disease,
9 cardiovascular effects and reproductive toxicity,
10 and blood levels once considered safe are now
11 considered hazardous with now known threshold and it
12 being a wholly preventable disease, all of which I
13 feel applies to mercury equally.

14 Again, symptoms of lead, as you know, fatigue,
15 irritability, lethargy, abdominal pain, muscle pain,
16 headache, you know, very similar list.

17 MS. HEATHER HOWELL: Thank you, Mr. Kirkman.

18 DR. KIRK YOUNGMAN: Okay. Thank you.

19 MS. HEATHER HOWELL: Carol Stapleton.

20 MS. CAROL STAPLETON: Thank you. Thank you for
21 coming and hearing our heart-felt expressions of

22 chagrin. I'm sure this isn't your first rodeo and
23 you've heard a lot of heart rendering testimony
24 about the adverse effects of mercury toxicity.

25 Correct me if I'm wrong, but I believe that the

1 role of the FDA is to be our overseer, to be our
2 protectorate, to look at the market, look at what's
3 going to affect us, what we're going to consume,
4 what we're going to have flashed in our eyes, what
5 we're going to be exposed to and ascertain whether
6 that that is safe, and the job of the FDA is to
7 protect us from harm.

8 Research on mercury amalgam is not a new thing.
9 Obviously, it's had a hundred fifty years and many,
10 many documentations of why it should not be used.
11 Doctors Vimmie, Doctors Haley, Doctors Hardy, there
12 have been so many doctors and so much documentation.
13 Dr. Kennedy has excellent footage of mercury vapor.
14 There is lots of evidence of how it gets into the
15 system, stays in the system, is pernicious, affects
16 many different aspects of us, that it is not stable,
17 combined with other metals. It is only stable in
18 glass, and then even so, it is just -- it is just
19 deadly.

20 It also conducts electricity quite well as do
21 we. We're roughly 65 percent water. We're like

22 walking water bags of lightning rods. We're like
23 bags of water with legs ready to conduct all these
24 things coming off our laptops, our Bluetooths, our
25 technology that's bouncing all over the place.

1 Those things are conducted in our mouth with a
2 kissing cousin to battery acid, which is our saliva.
3 We're got this electrical storm going on in our
4 mouth just inches away from our brainstem so there
5 is no wonder why there is so many neurological
6 effects of MS and why it is difficult to name what
7 the problem is, because we're all distinct
8 individual our bodies are all just a little bit
9 different. We metabolize things in a different way
10 and we're affected by things in a different way.

11 So, although it may be dismissive to think of
12 these people with the MS and all these dreadful
13 symptoms as perhaps being hypochondriacs or it being
14 difficult to pin down as what it is, I think the
15 part of what the real question is for me and the
16 slippery slope and the giant elephant that we're not
17 talking about in the room is the fact that once the
18 FDA and other responsible bodies recognize the
19 deleterious effect of this and so many people that
20 there will be litigious, you know, someone is going
21 to have to reach in their pocket and start saying

22 that it is not right and there has to be some kind
23 of retribution or redress for the injuries that have
24 been caused by this very toxic substance.

25 And I'm wondering why the FDA, although I'm

1 grateful you are here to listen to us, why is the
2 FDA holding these silly and redundant meetings about
3 things that have already been substantiated. That
4 envelope has been closed with a hammer. We know.
5 We don't need another hundred years of research to
6 know that this is not working for us. And I know
7 this is a slippery slope and implore you, as do
8 these other kind individuals that have been so
9 deeply affected, to do the right thing. The Turkish
10 have an expression, "No matter how far you've gone
11 down the wrong road, turn around, turn around." And
12 I am I implore you protect interests that you have
13 been so endowed with and assume the mantle of
14 protection and let that supercede all the bed
15 fellows, the ADA, the insurance companies that want
16 to use something cheap and no one wants to take the
17 responsibility.

18 Please, please, Dr. Shuren, take the
19 responsibility to say no and start banning the use
20 of mercury amalgam fillings.

21 Thank you.

22 MS. HEATHER HOWELL: Ms. Carol Roberts with the

23 Wellness Works.

24 DR. CAROL ROBERTS: Thank you to the FDA for

25 listening to us. I'm a practicing physician who

1 does look for underlying causes of chronic illness
2 which are not taught in medical school.

3 Mercury was introduced to the dental profession
4 in the 1800s by a pair of German brothers,
5 nonprofessionals, above the initial objections of
6 the dental association at the time. The low cost of
7 the material and ease of insertion won over the
8 public and the pejorative origin of our term, quack,
9 became widely accepted.

10 Since then, the dental profession, itself, has
11 been most negatively affected by chronic slow
12 mercury accumulation. The extremely high suicide
13 rate among dentists and their early average age at
14 mortality may well be due to the toxic effects of
15 mercury. In the rest of us, mercury vapor is
16 released from amalgams with chewing, brushing,
17 cleaning and drilling. A hair analysis can easily
18 detect extremely elevated levels of mercury after a
19 dental cleaning.

20 The tragedy at Minimata Bay in Japan brought to
21 the fore the extreme toxicity of acute mercury

22 poisoning when hundreds of people died from exposure
23 due to a factory that was dumping industrial waste
24 containing methyl mercury into the bay. Still, the
25 more subtle manifestations of chronic exposure have

1 yet to be acknowledged.

2 The inability of most people to efficiently
3 eliminate this highly toxic material leads the body
4 to do the next best thing, to store it outside the
5 bloodstream.

6 We simply did not evolve in a world where
7 mercury exposure is a common problem until the
8 advent of amalgam fillings. Now, most of us carry
9 lethal amounts of it around in our heads.

10 It has been shown that a pregnant sheep who
11 receives an amalgam filling in her tooth begins to
12 pass that mercury onto her unborn lamb within a day.
13 In functional medicine, the axiom is the fetus is
14 the toxic sync.

15 We now know that the cord blood of newborns
16 contains upwards of 250 toxic chemicals. Most of
17 these have never been tested for safety and
18 certainly the combination of multiple chemicals in a
19 newborn is impossible to evaluate.

20 Mercury is the most toxic element that is not
21 also radioactive. It does its mischief by replacing

22 normal minerals and enzymes all over the body,
23 thereby inactivating them and by destroying
24 components of cell membranes and this is especially
25 damaging to the central nervous system.

1 A few of the multiple dare one say mercurial
2 disorders which can be associated with mercury
3 toxicity are the following: Allergies, asthma,
4 autoimmune diseases, ALS, ankylosing spondylitis,
5 myasthenia gravis, Parkinson's disease, Alzheimer's
6 disease, anxiety, panic attacks, hypoglycemia, low
7 thyroid function, depression, ADD, learning
8 disabilities, multiple chemical sensitivities,
9 chronic fatigue, fibromyalgia, gastritis, arthritis,
10 bipolar disorder, sleep disorders, colitis, Crohn's
11 disease, anorexia, nervosa, bulimia and yeast
12 overgrowth.

13 Naming, labeling also called making a
14 diagnosis, is not a substitute for understanding
15 underlying causes.

16 In my own functional medicine practice, I've
17 been testing patients for metal toxicity for
18 17 years. The ubiquity of heavy metals, especially
19 lead and mercury, is astonishing. These two toxins
20 have synergistic negative effects on the body; in
21 other words, they amplify each other's toxicity.

22 It is easily possible to monitor the amount of
23 metals removed with treatment. Removal of the
24 metals is usually followed by dramatic improvements
25 in pain, fatigue, depression and many other

1 symptoms, although not always and not in every
2 patient. But prevention is always preferable to
3 treatment.

4 Germany, Denmark, Sweden and Norway have all
5 banned the use of amalgam fillings.

6 If we are interested in keeping the costs of
7 healthcare down, the FDA can institute a simple
8 public health mandate right away which will have
9 profound cost savings implications in the future for
10 ourselves, our children and many generations hence.

11 Please ban the use of mercury amalgam fillings
12 today. Thank you very much.

13 MS. HEATHER HOWELL: Dr. Clair Stagg.

14 DR. CLAIR STAGG: Hello, everyone. You know,
15 when our patients come to us as dentists, they
16 always say, "I hate dentists. I really don't want
17 to be here today."

18 And I don't envy your job, because I feel for
19 you. However, I would like to share my journey. My
20 journey is a different than most of you. I'm a
21 practicing dentist with an emphasis on mercury

22 removal.

23 I trained in France. And we were trained a
24 little differently than Americans. We had a lot
25 more of a natural way of learning a lot of

1 homeopathy, a lot of alternative medicines that I
2 grew up that I thought were very natural.

3 We were told when we were, you know, in those
4 days, we had amalgams, we had the mercury, and we
5 actually had to pour the mercury in and use the drop
6 cloths and see the little beads of the mercury fall
7 down on the floor, and they always said like don't
8 touch that, that's not good for you, and it will eat
9 the gold on your rings so we don't wear your rings;
10 and yet, we still place it in patients' mouths.

11 So, what happened is I came to America and I
12 married an American. And a young man from church we
13 found out was deathly ill and had been through a lot
14 of different ways of trying to heal himself and
15 found out that he was mercury toxic, and it wasn't
16 because of his mercury fillings; it was actually
17 because he worked in the sign industry on the
18 ballast and the fluorescent lighting caused him to
19 be sick.

20 So, that was very interesting. I thought,
21 well, heck, you know, if he's gotten sick with

22 mercury with ballast and we're putting it in
23 patients' mouths, what does that mean that we're
24 doing to our patients? And I stopped using mercury,
25 because I didn't want it in my mouth. But then the

1 thing is that what do you with it when it is already
2 inside the mouth?

3 So, there was a whole journey for me to learn
4 how to take it out safely, safely for the patients,
5 safely for myself and for my staff, and that's when
6 I went to the IUMT. The IUMT taught us there are
7 certain protocols we can use that will be more
8 careful and more safe to be able to remove the
9 mercury.

10 At my first meeting, I heard of a doctor called
11 Stephanie Cave, and Stephanie Cave talked about the
12 children that she was finding back -- she was
13 bringing back to life literally which had been lost
14 to autism, and that was a whole other exposure now,
15 thimerosal in vaccines.

16 I had a girlfriend, a friend I met in Granada.
17 She was in medical school in Granada when I was
18 working there in back in the early eighties, and
19 Julie was a staunch advocate: "The American Academy
20 of Pediatrics would never hurt our children.
21 Nothing is wrong with the vaccines."

22 I debated with her for several hours. I said,
23 "You know what, Jules. That's your choice. That's
24 your decision, but I think you should really look
25 into it."

1 The reason I called her up to tell her about
2 Stephanie Cave was that when we were building our
3 "green building", because we have one, a unique one,
4 her kid kept rocking all the time at the table. I
5 said, "This isn't right. Something is wrong with
6 this child." And sure enough, the child was
7 autistic.

8 Julie had an incompatible blood and was given
9 RhoGAM when she was pregnant and then within four
10 weeks of her delivery had amalgam fillings.

11 Needless to say, Danny was a severely autistic
12 child. Interestingly enough, Julie is now a staunch
13 advocate of autism child's and had has a foundation
14 in Jacksonville called Heal. Julie has written a
15 book and it is amazing to think that in less than
16 eight years this woman has done a hundred eighty
17 degrees in saving children's lives. People come
18 from all over the country to see her.

19 The FDA was founded, I mean, sorry, not the
20 FDA. The ADA was founded in the 1830s because there
21 was a problem with the masses not being able to have

22 dentistry in an affordable manner. A lot of people
23 have already said the facts. German fellows devised
24 amalgams 50 percent mercury, 35 percent silver; the
25 rest is copper, tin or zinc. What happened is not

1 everybody could afford gold or not everybody could
2 afford what was there at the time so amalgam was
3 founded.

4 And another doctor, another person I thought
5 spoke very well, I'm thinking that if the ADA
6 actually does admit -- the reason why ADA was
7 founded because the association at the time did not
8 agree with the use of mercury fillings. So, the
9 American Dental Association was founded then.

10 So, think of the logistic and legal nightmare
11 that is going to happen if the ADA, that all us
12 doctors are under the envelope of, have to admit
13 that what they've been using or doing or advocating
14 for the last eighty-two centuries is toxic material.
15 It just blows your mind. That's where I think you
16 have a big, big, big problem to handle.

17 I know I should actually finish talking. But
18 one of the things that and my last little topic is
19 that when we were pregnant, it was 11 years before I
20 was able to get pregnant and we had a wonderful
21 birthing coach who was not really financially able,

22 not that I was either at the time, but she said,
23 "Could we have a party at your house to go ahead and
24 celebrate all the other parents who had children."
25 I said, "Sure."

1 And there were about five or six of us. There
2 were about total 20 parents, 20 sets of parents with
3 children. And one of them the biggest, biggest
4 memories I'll always have of that party is, yes, we
5 have lots of happy families, but there was one that
6 will break your heart, and it was a parent that had
7 to hold their child because the child couldn't hold
8 their head up because they lost it after the last
9 set of vaccines and the child was as limp as a
10 noodle.

11 And I know, I know some of you are probably
12 parents and I'm sure you have fillings in your mouth
13 and children, try and do something. If anything
14 today you take away with it, try to see if you can
15 change it so you can make sure that the children
16 don't become noodles, also.

17 Thank you.

18 MS. HEATHER HOWELL: Mr. Paul Funk, Florida
19 Health Freedom Coalition.

20 MR. PAUL FUNK: My name is Paul Funk. I'm from
21 New Port Richey, Florida. The good news is that I'm

22 the second to the last speaker. The bad news is I'm

23 here to talk about mercury.

24 Okay. I got into this business to save myself.

25 When I was about 40 years old, I had a bunch of

1 fillings put in my mouth. My lower mouth only had
2 one filling on each side. They went from the back
3 to the front. I figured those teeth are going to
4 last forever; they are never going to get used
5 again.

6 So, I was doing fine. About 55, 60 years old,
7 I started having neurological issues and health
8 issues, and I thought to myself this must be what
9 getting old is like.

10 So, then, one day, I met this gal and she says,
11 "Well, you know, there is -- I had this problem
12 called mercury toxicity." I never heard of it. I
13 said, "Now, where do you get that?" And she showed
14 me this picture of herself. She looked like she was
15 dead. The only thing missing was the pine box.

16 So, she starts talking about Hal Huggins and
17 it's in your head so I called them up, got the book,
18 started reading about it, and I then opened my mouth
19 in front of the mirror and I looked. There is a lot
20 of that gray silvery stuff in there.

21 So, I worked on getting in it out of my mouth

22 and then I worked on getting it out of my bed and I
23 spent quite a bit of time and money on that trip.
24 And I'm now 77 years old and I feel a lot better
25 than I did when I was 60 years old.

1 So, let's take high school science. There is
2 something called the Periodic Table. There are 90
3 plus elements in the Periodic Table. One of them is
4 radioactive uranium. According to the Journal of
5 Toxicology, that's the most toxic element on planet
6 earth.

7 According to the Journal of Toxicology, mercury
8 is the second most toxic element on planet earth.
9 So, you could say that mercury is the most toxic
10 non-radioactive element known to man on the planet
11 earth. Okay. So, there are probably other things
12 more toxic than the elements.

13 So, what are the physical characteristics of
14 mercury? We've had this up here a few minutes ago,
15 but anyway, if you take water and heat it up a
16 little bit, it turns into something we call steam
17 and that's a gas. You take water and you cool it
18 down a little. It turns into something solid. We
19 call it ice. Liquid can turn into a gas or it can
20 turn into something solid.

21 If you take mercury, while water is turning

22 into solid at 32 degrees Fahrenheit, mercury is
23 starting to move from a liquid to a gas at 10
24 degrees Fahrenheit. So we all know from our high
25 school science for every increase of 10 degrees

1 centigrade in temperature, the pressure to vaporize
2 doubles.

3 So, there it is, high school chemistry. Don't
4 you love it.

5 So, what happens when you heat up mercury?
6 This is a story of a lady who heated up her mercury.
7 She was having facial pains and she had a treatment
8 called diathermy, and what they do is microwave
9 things like sonic frequencies to do deep heating
10 treatment and try and alleviate pain and so that's
11 going to heat up.

12 She had four normal size mercury fillings in
13 her mouth. And how much mercury is in a mercury
14 filling? About the same amount that's in a mercury
15 thermometer. If you drop a mercury thermometer in
16 school and break it, you close the school and you
17 bring in some specialists to clean it up.

18 Well, she has four mercury thermometers in her
19 mouth. This is what happened to her.

20 At age 34, in 1984, Barbara had been extremely
21 active and athletic all her life, playing a lot of

22 sports and tennis. She had four mercury amalgam
23 fillings at the time. And at a doctor appointment,
24 the office was running a special on electrowave
25 diathermy treatment for pain or discomfort. She

1 told them she had some discomfort in the oral area
2 so it was agreed to do a diathermy for that area,
3 and she was not told there might be a problem.

4 After a period of time, her mouth started to
5 feel very warm. She decided to summon a nurse. By
6 the time the device was taken off, the area felt
7 like there was a blow torch applied to it.

8 The sensation did not stop when the device was
9 removed. She had severe facial pain, constant taste
10 of metal in her mouth area and developed chronic
11 fatigue and many other adverse symptoms in the
12 period following the event.

13 The metals from the amalgams had melted or
14 separated and were extremely evidenced in her
15 saliva. She described it as the metals were
16 bubbling up in her saliva.

17 She had many continuing symptoms as before,
18 including her hands and arms felt red hot much of
19 the time. She had many visits to doctors and
20 dentists, but nothing was accomplished to diminish
21 her health problems.

22 The metals bubbling up in her saliva then began
23 affecting her throat, stomach areas, many adverse
24 effects over these areas over a significant period
25 of time as well as constant major pain in the oral

1 area.

2 She consulted other doctors, including the Mayo
3 Clinic, about the pain and other issues and was told
4 that the trigeminal nerve damage and her problems
5 were due to the fact the diathermy should not have
6 been used in the oral area for a person with
7 amalgams and had separated the metals in her amalgam
8 fillings, causing her major mercury and other toxic
9 metal poisoning.

10 The doctor at the Mayo Clinic who stated this
11 opinion was Dr. Malpovich. She had provided the
12 Mayo Clinic with a 22-page history of symptoms, and
13 doctor and dental appointments, history, etc. The
14 constant pain and other symptoms required much
15 treatment during the entire period, and she would
16 advise and consulted the FDA about her problem.

17 She saw Dr. Michael Syskan (ph) with the FDA,
18 who was the person who wrote the FDA user effects
19 manual on such devices regarding warnings and
20 contraindications for the devices or treatments.

21 Dr. Syskan agreed that the problem was caused by

22 improperly using diathermy in an area with mercury
23 fillings, and because of her consultation with
24 Dr. Syskan, he agreed that devices such as diathermy
25 should not be used in the oral area with amalgam

1 fillings and included that as a contraindication in
2 the FDA manual on diathermy treatments.

3 So, something good came out of this very bad
4 experience.

5 After six months of the poisoning event, she
6 went to her dentist at her regular cleaning
7 appointment. During the cleaning, her symptoms and
8 pain all got worse. She became paralyzed and lost
9 the ability to walk.

10 After more consultations with doctors, she did
11 some research and decided to have her amalgam
12 fillings which had suffered major damaged replaced.
13 She also had to have oral surgery several times
14 since there were lots of metal in the gums and oral
15 area, mercury tattoos and such.

16 After more research on what to do about the
17 significant mercury and toxic metal poisoning, she's
18 had to undergo metal detoxification, found a doctor
19 with a good record using herbal detoxification and
20 began detoxifying.

21 Most of her problems other than the trigeminal

22 nerve damage pain got better after the amalgam

23 replacement and surgery and detoxification.

24 So, this is a person who did get better, not

25 returned to her prior status. So, that's a good

1 thing.

2 Now, what happens today, what loosens up
3 mercury in your mouth?

4 Well, you take electromagnetic force, you get a
5 hair dryer and you are drying your hair. There is a
6 motor there. It generates electromagnetic force.
7 Now, you have a mercury filling here. It stimulates
8 the mercury.

9 MS. HEATHER HOWELL: Thank you, Mr. Funk. We
10 need to move on.

11 Our final speaker is Stuart Scheckner.

12 DR. STUART SCHECKNER: Good morning. My name
13 is Dr. Stuart Scheckner. I'm a dentist. I'm living
14 proof that mercury exposure from any source is a
15 critical health hazard. I'm a dentist with
16 documented occupational mercury poisoning.

17 From 1978, I sat over a large spill of mercury
18 hid in the deep shag carpeting in my dental office.
19 Six years later, I was completely disabled to
20 practice my profession. I lost my dental practice,
21 my income, my marriage and almost my life to this

22 horrible insidious poison. I went through years of
23 torture with not one local physician having a clue.
24 My neurologist did not even know that dentists used
25 mercury.

1 I contacted the American Dental Association for
2 help. In response, Dr. Langan of the Council on
3 Dental Therapeutics sent me a letter stating that I
4 could not possibly have mercury poisoning.

5 I had to fly across the country from Florida to
6 the State of Washington to a doctor specializing in
7 mercury toxicity. After a week of testing, the
8 doctor's report stated that I was a classic case of
9 mercury poisoning. I was referred to one of the
10 leading world mercury toxicologists, Dr. Louis
11 Chang, who validated my condition of mercury
12 poisoning.

13 The American Dental Association stated to me in
14 writing that I could not possibly have mercury
15 poisoning in direct contradiction to a leading world
16 toxicologist. The ADA uses the same criteria on the
17 millions of people who have suffered from mercury
18 poisoning from their mercury silver dental fillings.

19 The ADA was wrong about me and also wrong about
20 the millions of people who have had their health
21 destroyed by this insidious poison in their dental

22 fillings.

23 It is okay to place these mercury fillings in a
24 person's mouth; but, once outside the mouth, it's
25 deemed to be an environmental hazard by the

1 Environmental Protection Agency. This is indeed a
2 contradiction.

3 In my reading about mercury, there was a
4 connection with multiple sclerosis. I met Vivian
5 Bennett who told me her amazing story. She had MS
6 for years and her condition had been deteriorating.
7 Her priest was over her home and saying last rites
8 for her. She had a premonition that she needed to
9 have her mercury fillings removed.

10 Within a few weeks after her mercury fillings
11 were removed, she was completely free of MS
12 symptoms, never to have a recurrence.

13 In collaboration with Dr. Michael McCann, a
14 pediatric immunologist, we published a document in
15 the Journal of Clinical Pharmacy. Essentially, we
16 state that mercury, even in small amounts, can act
17 as a trigger in autoimmune diseases such as multiple
18 sclerosis and Grave's disease in genetically
19 susceptible individuals.

20 Due to great variation in susceptibility, every
21 individual reacts differently to mercury exposure.

22 This may range from no symptoms at all to slight
23 symptoms such as irritability and insomnia to major
24 illnesses such as MS, Parkinson's disease and
25 Alzheimer's. The science is very clear mercury is

1 primary neurotoxic and secondarily immunotoxic.

2 Mercury does leak out of mercury silver
3 fillings. It does become deposited in tissues
4 throughout the body. Maternal mercury does become
5 deposited in the fetus where the developing brain is
6 at high risk.

7 While other countries such as Sweden, Denmark
8 and Norway have prohibited the use of mercury in
9 dentistry, the United States lags behind.

10 It is very clear that the continued use of
11 mercury in dentistry is a health hazard to both
12 dental personnel and dental patients.

13 To coin a phrase from Professor Alfred Stock,
14 head German chemist in 1926, the introduction of
15 mercury fillings was a nasty sin against humanity.

16 It is time for this archaic extremely poisonous
17 material to be prohibited from further use in
18 dentistry.

19 In conclusion, about 50 years ago cigarette
20 manufacturers were advertising in the Journal of
21 American Medical Association and leading magazines.

22 The headlines read "More doctors smoke Camels than
23 any other cigarette."

24 In 50 years from now, will the use of mercury
25 in dentistry, the most toxic nonradioactive element

1 known to man, be remembered the same way?

2 Thank you.

3 MS. HEATHER HOWELL: Thank you.

4 Okay. At this time, we'll take a break and we
5 will meet back together at 10:30 and we'll have a Q
6 and A session at that time.

7 (Recess off the record from 10:15 a.m. until
8 10:30 a.m.)

9 DR. SHUREN: We'll go ahead and get started.

10 This is part two. The first part, you had heard
11 from people who had signed up to speak.

12 We now open up the microphones for anyone who
13 wants to make a comment or has a question. What I
14 ask is if you do come up to the microphone, there is
15 one up here, to introduce yourself. If you are with
16 an organization or business or whatever, please
17 state your affiliation. If you are making a
18 comment, I would ask that you please keep it very
19 short, just a minute or two.

20 So, with that, you can just come up to the
21 microphone. I'll take folks in order.

22 MS. KATLYN TISSUE: Hello. Katlyn Tissue,
23 Genicon, medical manufacturer in Winter Park,
24 Florida.
25 My question is regarding the class

1 registrations I, II and III. What is your opinion
2 and, if you may, is it a majority opinion on
3 dividing Class II into IIa and IIb?

4 Thank you.

5 DR. JEFF SHUREN: So, we had undertaken a
6 fairly comprehensive assessment of our what's called
7 our 510(k) program. For folks who may not be very
8 familiar with it, it is available for, and I'm over
9 simplifying, a more moderate risk or lower risk
10 devices that may have another device on the market
11 very similar to it, and under that pathway, you are
12 actually comparing yourself to the device that's
13 already on the market, and if you meet the standard
14 of substantial equivalence, you will get cleared and
15 you can be sold in the U.S.

16 A lot of concerns have been raised about the
17 operation of that program and aspects of the
18 program. We applied for high risk devices that's
19 called premarket approval, or PMA program. We
20 undertook a fairly comprehensive assessment of the
21 510(k) program, very detailed, but also aspects of

22 our PMA program and issued two reports in August of
23 2010.

24 I will tell you that the concerns range from
25 the FDA stifling innovation due to concerns about

1 insufficient predictability and consisting in
2 transparency and on the flip side not providing
3 sufficient protection for patients. We heard that
4 from other groups; we're not providing sufficient
5 information to make well-informed treatment and
6 diagnostic decisions. And hence, we undertook those
7 assessments.

8 One of the recommendations that came out of
9 those reports was to split up our Class II devices.

10 Those are more moderate risk, into a and b.

11 So, the proposal on the table was to put under
12 IIb those devices for which we were asking for
13 either clinical information, manufacturing
14 information or we conducted a preclearance
15 inspection.

16 Nothing in that proposal was about adding new
17 requirements to devices, but rather as a heuristic
18 to make it easier for manufacturers to maybe
19 anticipate if there were additional data
20 requirements or additional expectations for those
21 kinds of technologies.

22 That was a proposal. We heard comment on it
23 from the public. We received a number of concerns
24 about making that split and we have deferred making
25 a decision until the Institute of Medicine, which is

1 conducting an independent assessment of the 510(k)
2 program, has an opportunity to weigh in, if they so
3 choose, and subsequently, we'll make a final
4 decision.

5 So, you can anticipate sometime probably in
6 2011 we'll be giving a determination.

7 MS. KATLYN TISSUE: Thank you.

8 DR. JEFF SHUREN: You're welcome.

9 MS. JOLIE DAVIS: Thank you for coming today.
10 My name is Jolie Davis. I've been a dental
11 hygienist for 20 years full time, and I just wanted
12 to make a comment.

13 When I was in dental hygiene school, they never
14 explained to us what mercury was. It was always
15 referred to as a silver filling and they explained
16 to us that we were take tin oxide and polish the
17 crap out of it until it was real shiny. That's what
18 people wanted. That's when it looked good.

19 Out of dental hygiene school, I worked for a
20 practice for about eight years, and during that time
21 that I was there, we had carpeting on the floor. We

22 did, I'm going to say, 95 percent of our fillings we
23 did were the mercury fillings. There were several
24 times that I would witness that the dental
25 assistants would drop mercury on the floor. I'd ask

1 them if there was any issue with it. They said, "It
2 is not a problem. It doesn't have any kind of
3 smell. You know. Don't worry about it."

4 While I worked for that practice, I ate very
5 well, very healthy, took vitamins, exercised
6 regularly, went for regular checkups, but I was on
7 antibiotics a minimum of four times every year.
8 Patients would always come in. People would come in
9 from time to time with colds, sore throats, you
10 know, different things like that, and you get that
11 generally. But I was always having to fight
12 something off. I was always feeling not quite
13 right.

14 In the mid nineties, I was lucky enough to read
15 a book called Mercury Free by Dr. James Hardy, and
16 it explained to me in laymen's terms what exactly
17 mercury can do.

18 When the 60 Minutes subjects came up and
19 patients would come in during the practice and ask
20 about that, at my first practice, I was told by the
21 dentist that it was basically a ploy by dentists to

22 make money, that it was a scam, that they wanted to
23 take the silver fillings out just to be able to put
24 the composite fillings in, they weren't as strong,
25 there was nothing that showed that they were

1 worthwhile and it was just for aesthetics and to
2 make money.

3 When I spoke with Dr. Hardy, after reading the
4 book, he had explained to me that the worst thing we
5 can do as dental hygienists is polishing the
6 amalgams, because not only are we creating that
7 vapor and it is coming up and it's toxic for myself,
8 but also toxic for the patient, for them.

9 Since that time, everything for me has stayed
10 the same, exercise, diet, the whole nine yards; but,
11 I can tell you that during that time that I have
12 worked for a mercury-free office, meaning that we
13 have several different things that we do in the
14 office safely to remove amalgam fillings, we do not
15 place any amalgam fillings. We have special
16 ionizers and different things set up in our
17 air-conditioning units and things set up in the
18 rooms to make it healthier for our patients and for
19 ourselves.

20 We still get patients that come in that have
21 strep throat and the end of different things coming

22 in, but in the last close to 11 years I've been on
23 antibiotics one time. And I can't -- I can't see
24 anything else in relation to the fact that I am not
25 having that constant exposure to the mercury.

1 Thank you.

2 DR. JEFF SHUREN: Let me take a minute on
3 dental amalgam. I don't know if anyone has heard
4 about dental amalgams, but let me take a minute.

5 So I actually came to the Center For Devices of
6 Radiological Health as acting director in
7 September 2009. I became permanent director just a
8 little over a year ago.

9 We've heard the concerns raised by a number of
10 people obviously with going dental amalgams and we
11 take those concerns seriously. It is why we had
12 announced that we are revisiting our policy and why
13 we reconvened our advisory panel in December of
14 2010.

15 What I can tell you is --

16 And now, remember I apologized in the very
17 beginning and said I may go into Washington
18 beurocrat speech.

19 So, if we are taking an action, we will likely
20 have to go through rule making to do so, which by
21 law is a fairly cumbersome process. There is a lot

22 of hoops and hurdles we have to go through. You can
23 anticipate if we're taking an action and you haven't
24 heard much to date, it is because there is a lot of
25 steps we would have to go through in order to do so.

1 Should we be making any changes, it is my hope
2 and we would then explore can we at least
3 communicate the intentions earlier than we might
4 otherwise do by going through the entire rule making
5 process, and that's where we are on dental amalgams
6 with the FDA. We are seriously and actively looking
7 at them.

8 MR. JORGE RIVERA: Good morning. My name is
9 Jorge Rivera and I am an owner of a small
10 manufacturing company that is located here in
11 Florida. The name of the company is called Care
12 Systems. It is intended to clean and disinfect
13 blood pressure cuffs for patients. Especially now,
14 we have a couple of sites in Orlando dialysis
15 clinics, which are using the device to clean and
16 disinfect the blood pressure cuff between each
17 patient.

18 The biggest problem that I'm having, we took
19 product to market last year. The FDA registration
20 and listing fees were 200 percent of our revenues
21 last year alone, you know, beyond patents,

22 trademarks, and everything else, the cost of

23 gasoline and going from clinic to clinic.

24 Myself and a partner of mine, that's the

25 company. We have great prospects of growing the

1 company. But we are hoping that the FDA could
2 somehow reduce or eliminate the registration listing
3 fees for small businesses like ours.

4 I used to work for G.E. Healthcare for several
5 years. I was a product manager for blood pressure
6 cuffs there, and it seems a little bit disadvantage
7 when they are paying the exactly same amount of
8 money that I'm paying for marketing my device in the
9 U.S.

10 Typically, as you probably know, it takes a
11 good five years for getting product acceptance, for
12 people to set up product in the marketplace, and
13 being small is not easy, it is not an easy task.

14 So, I'm hoping that the FDA could do something
15 about that, hopefully, for FY-12 coming up. You
16 know. I already paid the FY 10 and 11 this past
17 year. So, I'm hoping that you guys can help my
18 company grow.

19 We're here located in Orlando. We think we can
20 grow the business enough to hire people. In this
21 economy, I think that would be a great thing and

22 welcome for the folks here in Florida.

23 I'm working with Congresswoman Sandy Adams,

24 Mark Rubio. I've been sending letters like that as

25 well. And the folks in your office are wonderful.

1 I think that's a positive note. They helped me
2 tremendously in the first couple years when we were
3 developing the product, answering questions.

4 So, you guys are doing a great job on that end.

5 I'm hoping that in terms of the registration on
6 this thing we can get a little bit of help to go
7 forward.

8 Thank you.

9 DR. JEFF SHUREN: Well, I appreciate the
10 concerns. Let me talk to user fees for a moment and
11 you can stand. You can sit. I can drone on
12 sometimes.

13 So, the Agency receives, or I should say the
14 Center For Devices receives funding from Congress
15 and it receives funding from the medical device
16 industry. That's indirect funding and it's related
17 to certain applications that they submit or to
18 registering with Agency. That was created by law
19 starting in 2002 as a means for supplementing
20 resources for the Center and other parts of the
21 Agency to try to assure we have enough people to

22 monitor products, make sure they are safe and also
23 to conduct timely reviews of products coming on the
24 market, so that, if there are important
25 technologies, they are not held up and patients have

1 access to them.

2 The user fees actually make up a fairly small
3 amount of our program, about 20 percent of the
4 activities otherwise covered by funding, very
5 different from the drug setting where most of the
6 funding for those programs in prescription drugs are
7 actually paid for from user fees from industry. But
8 it is set up so that no individual company is in
9 line where those fees actually influence decisions
10 that are made.

11 The amount of the fees in the structure is
12 actually discussed between the representatives of
13 industry, the Agency and Congress, and the fees that
14 are set is an agreement from all those parties.

15 Ultimately, legislation is passed as to what those
16 fees would be. So, they are actually in there by
17 law.

18 And the registration fee is by the number of
19 facilities you have. So, actually, G.E. pays a lot
20 more than you do. It is by the number of
21 facilities. Actually, the registration fee for one

22 facility is about \$2,000. That's what it is as
23 opposed to what the real costs are for all the work
24 that's done, which is enormously higher.
25 And in fact, we had made that arrangement for

1 the \$2,000 fee by facilities with the groups
2 representing the small companies like yourselves
3 that this was the equitable way for doing it because
4 the amounts, in fact, were small compared to all the
5 other costs that are involved in medical device
6 development, other requirements that have to be met.
7 This is actually fairly tiny and it is spread out
8 based upon the number of facilities so the big
9 manufacturers actually pay a lot and the smaller
10 folks pay less.

11 I appreciate the fact that in your
12 circumstances your particular company may be in a
13 place where given the money you have that money is
14 spent on a variety of different activities, many of
15 them outside of FDA's control. The fundings
16 available to you may put you in a somewhat different
17 stream.

18 MR. JORGE RIVERA: I appreciate it. I don't
19 mind paying the first year, but any help.

20 DR. SHUREN: I want folks to know that fee is
21 actually \$2,000, which if you know what fees are in

22 a variety of different things that businesses pay

23 for, it is relatively small.

24 MR. BRUCE ROSENBERG: Bruce Rosenberg, National

25 Meshoma Foundation. We've met before.

1 And similar to today's topic about amalgam
2 breakdown and subsequent injury or poisoning to the
3 host patient, it is kind of on line with the
4 synthetic surgical mesh issues of bladder slings and
5 hernia mesh they use in individuals that the CDRH is
6 aware of degradation issues with some of those
7 synthetic materials and subsequent injuries such as
8 erosion and migration issues, degradation of
9 materials that we are not fully aware of what the
10 potential harms could be.

11 Is the CDRH planning on publishing any of their
12 data and more enhanced warnings to the public in
13 terms of informed consent or enforcing the
14 manufacturers to increase their warnings to patients
15 regarding the potential degradation issues or
16 subsequent injuries?

17 I have a question about the study or
18 methodology to use Medicare claims database to
19 evaluate device safety, evaluation of
20 urogynecological surgical mesh. Is there any
21 findings from that study that you have published

22 yet.

23 DR. JEFF SHUREN: Nothing to report yet on that

24 study. The reports we do get about adverse events

25 that may be related to surgical mesh, we actually

1 are posting on our website. They are all out there.

2 In terms of what we're doing, I'm back to the
3 Washington bureaucratic speech, and as we've talked
4 about before, we are -- this is one of the products
5 that actually we are doing a fairly full look at.

6 And one of the issues comes down to the kind of mesh
7 and for what it is being used, because the
8 experiences and the data that we've got is different
9 for the different kinds of meshes and their uses,
10 and we are sifting through that.

11 MR. BRUCE ROSENBERG: In terms of that, this
12 particular study, this methodology using a claims
13 database, which is an actually excellent way to get
14 objective data as opposed to the log site, which is
15 more subjective anecdotal data that insurance
16 companies have excellent objective data for the
17 billing and CPT codes, will you be looking at any of
18 that?

19 DR. JEFF SHUREN: Yes. We have looked at other
20 sources. Here's one of the challenges about claims
21 handling and CPT coding. It actually doesn't get

22 granular enough often times for the specific
23 product. And that's one of the reasons why a unique
24 device identifier, which was a question that was
25 brought up from our second speaker, could be

1 exceptionally helpful.

2 So, one of the problems, unlike with a drug
3 where there is a unique code for that drug so you
4 can actually link up what the patient got, the
5 specific drug they got and the strength, with what
6 their experience may be, you don't have that in the
7 device world.

8 So, claims data is only helpful for certain
9 kinds of devices where you can get that granula.

10 Most of the times, the data, if it has anything in
11 there, it will tell you that they had, for example,
12 this kind of a device, not who made it, which
13 version, which can make a big difference in terms of
14 what the risks are for the technology.

15 The unique device identifier, this would be a
16 numerical code that would tell you who is the
17 manufacturer, what's the product and even specifics,
18 maybe even down to what lot and expiration date it
19 is so you can identify if there was a problem.

20 Maybe it is not every single one of that version of
21 the device, but a certain kind. We'd be able to

22 track it.

23 Once that's out there, there is an opportunity

24 then to use that and this will be up to the insurers

25 if they will use that in their claims data that

1 would be more helpful in terms of making that data
2 more useful, even could be into electronic health
3 records.

4 The status for UDI is we are planning to issue
5 a regulation this year to -- proposed to set up what
6 that will look like and we have been conducting
7 several pilots. There is more than one that's out.
8 I think over four now. Looking at how to
9 operationalize this, because you need the code, how
10 you develop it, you've got to put it on the product
11 and then how do you link it up to other information,
12 and that's what we've been finding.

13 MS. CAROL CHANDLER: I'm Carol Chandler and I
14 have a company that markets medical infrared imaging
15 devices. We're a type I device. And we're a small
16 industry. And one time a couple years ago, somebody
17 came in my office and said, "There is somebody here
18 from the FDA to see you."

19 So, I got to realize the real new reality about
20 what it is to be a 510(k) holder.

21 And consequently, in my industry, I'm probably

22 the most in compliant of anyone, which is a good

23 thing. I'm glad it is over, but I'm glad it

24 happened.

25 However, what has happened is that in the

1 industry I can see where all of the others, the ones
2 that are my competitors, are not in compliance, and
3 one of the things I'd like you to address for me is
4 the FDA approval. They made me, of course, take
5 that off of my website. It is a type I device. I
6 don't know if that is related only to the type
7 device I have. I know this, that all the drug
8 companies, of course, say they are FDA approved. In
9 our industry, we are a little bit concerned about
10 the FDA approval terminology.

11 And also, I'm at a disadvantage because of my
12 competitors. Some of them are not even registered,
13 and so, they are selling the infrared devices to
14 doctors for medical use and they don't even bother
15 to do the 510(k) so now they are not even, you know,
16 they don't even have to comply.

17 So, what I'd like to know is there some way
18 that we can police ourselves, or is there some way
19 that I could --

20 If I talk to my competitors about it, they
21 don't, you know, they don't take me seriously. But

22 I don't want to be a whistle blower either. I don't
23 want to file a complaint on everybody, because
24 that's not good for our industry.
25 So, I'm just wondering if there is some kind of

1 a way that I can help my own industry be more
2 compliant and then also if you'll explain the
3 approval thing.

4 DR. JEFF SHUREN: In terms being more
5 compliant -- and I appreciate the fact of your
6 talking to other companies out there, and as you
7 said, they are not listening. It is helpful to us,
8 though, to know who those folks are. And the person
9 sitting to my left just happens to be in charge of
10 then following up with those folks.

11 Do you want to speak to that? And then we'll
12 come back on the approval.

13 MR. STEVEN SILVERMAN: So, I'll make a couple
14 of observations.

15 The situation that you describe is something
16 that we struggle with day to day at the Agency. The
17 reality of the resources that we deal with is that
18 there are manufacturers who we will go and inspect.

19 And sometimes we find problems with the
20 manufacturers, which are quickly resolved.

21 Sometimes we end up having an ongoing

22 relationship with the manufacturer where it takes a
23 little bit longer to get the concerns that we identify taken care of.
25 And then there can be a range of similarly

1 situated manufacturers who we don't inspect, or
2 there may be cases where there are
3 manufacturers that we are simply not aware of
4 because they haven't complied with the registration
5 process. We may not even know that they are
6 operating in the marketplace.

7 So, we struggle with the fact that we don't
8 have the inspectional resources to cover the entire
9 landscape of industries who are manufacturers who
10 are not complying with requirements they ought to be
11 complying with.

12 When information comes to us, from you or from
13 other sources, then we look at it, and the fact of
14 the matter is that we try do so in a risk-based way,
15 and it is not the case every time we get a complaint
16 that somebody is inappropriately marketing a product
17 or somebody has failed to meet a regulatory
18 requirement that we immediately follow up, because
19 for each complaint that we receive there are ten
20 others that we have to weigh against it, because we
21 try to make the best decision that we can that

22 reflects the relative risk of the problems that are

23 being reported to us.

24 Now, that's not a really satisfying answer on

25 its own to us or to you.

1 So, one of the things we try to also do is to
2 find strategies that complement the more traditional
3 inspection and citation approach that we rely on.
4 So, we do a lot of outreach from within the Center,
5 including, when you and I were talking in the break,
6 we have a division of small manufacturing
7 information, which is an excellent resource not just
8 to answer questions that are brought to the Center
9 on a case-by-case basis, but also to make available
10 information that can be put into hands of
11 individuals like yourself and then shared around.

12 So, it is not you saying this is what your
13 responsibility is. It is you saying, look, this is
14 communication from the FDA about what all us
15 manufacturers are responsible for.

16 In addition, we do a lot of outreach that
17 involves going to locations like this one and
18 talking to the public and to manufacturers and
19 getting on the phone and having conversations with
20 manufacturing groups.

21 So, to your point about how can you bring

22 together people in a less antagonistic forum to make
23 them understand what their responsibilities are, one
24 thought that comes to mind is maybe the conversation
25 is how do you pull those people together and is

1 there someone within our office who we can put on
2 the line, on the telephone line, for example, who
3 can provide an overview of the regulatory landscape
4 that's going to be kind of consistent with your own
5 experience, and that's a
6 conversation we are very open to having.

7 I'll make a quick point about the approval
8 issue. We refer to products that go through the
9 510(k) process as cleared, not approved. We have a
10 premarket approval process which is separate and in
11 many cases lengthier and more involved an analysis than
12 the 510(k) clearance process.

13 So, for products like your own that are subject
14 of cleared 510(k)s, we don't permit firms to
15 characterize those products as FDA approved.

16 DR. JEFF SHUREN: That's also a manifestation
17 of the law. So, for drugs, what a drug, a standard
18 for getting on the market generally what they have
19 to show and what they have to do is much the same if
20 you are a brand name drug, and generics also have
21 their same way of coming to market.

22 Devices are a entirely different kind of
23 framework to get into the market. That's why the
24 terminology for what some of them approved, some of
25 them cleared, and many devices don't get reviewed by

1 FDA as a matter of law before they go on the market.

2 They can't say either FDA approved or cleared.

3 MS. CHRISTINE JENKINS: Good morning my name is

4 Christine Jenkins. I am vice president of Laser

5 Network in Miami. We do laser light shows. We also

6 build laser light show projectors.

7 Laser Net started in 1968, making it now the

8 eldest company in the world doing laser light shows

9 that I'm aware of, and I'm here to -- I also sit as

10 a member of the board of directors for the

11 International Laser Display Association. You heard

12 from Patrick, the executive director, and Greg

13 Makhov, our safety officer, about variances being

14 dropped on the user side, not the manufacturer's

15 side. We manufacture and we use so we do both.

16 And I am asking you to consider that proposal

17 in dropping the user side. The cost of compliance,

18 as you know, for any industry can be large,

19 especially a small industry. And the cost of

20 compliance for our company, doing major productions

21 as well as installing the local nightclub, puts us

22 at against other smaller, you know, guys who buy off
23 of eBay, "Oh, I can sell a laser or we can beat
24 Laser Net. We can do this. We can do the other
25 thing."

1 We lose business. We're trying to function
2 within the realms of the law, we do always, but it
3 becomes more and more difficult these days when
4 pictures are in the newspapers of lasers scanning
5 the audience and we are saying, "Oh, we can't do
6 that. It's illegal."

7 "Well, here it is in the newspaper." "Well,
8 I'm sorry. It is still illegal."

9 We're getting laughed at. We're getting losing
10 business. But economics aside, you don't have the
11 manpower to deal with it anymore.

12 I've got variance applications in your hands
13 for clients since 2008, I don't even have an
14 exception letter for yet. 2008. I mean you guys
15 must be seriously overwhelmed or there is a big room
16 somewhere with my applications. So, it's not
17 necessary. You talk about, like, that gentleman
18 spoke about risks, you know, what's really
19 important.

20 Okay. You've got this many applications, but
21 the risks are way down here. We already know laser

22 lights are everywhere. They are in every club in
23 every country. There is almost no issues of
24 reported incidences in the past decades.

25 So, maybe we should take the pressure off of

1 you and let it go. That's my comment.

2 DR. STEVEN SILVERMAN: So I'll just take a
3 minute and respond. To be explicitly clear, I am
4 not aware of any room at FDA that is holding your
5 variances. If I come across that room, I will let
6 you know. It is not like a Harry Potter book with a
7 secret chamber with your variances.

8 So, I'm the head of the office of compliance,
9 and I'm not -- I don't have primary responsibility
10 for oversight in this particular compliance area,
11 but I'm not going to simply summarize that or make
12 that point and then go ahead and sit down.

13 I think that your points are well taken. You
14 are right. We, as an agency, we try to make
15 risk-based decisions about where we're going to
16 dedicate resources. I thought that the points that
17 were made by all of the representatives in your
18 industry were interesting and your points are well
19 taken.

20 My plan simply is to bring that information
21 back to the folks within CDRH who have

22 responsibility for this program.

23 I know that you are already talking to these

24 folks, and obviously, Jeff is the ultimate decision

25 maker on behalf of the Center so he's heard this

1 message as well.

2 I think the dialogue has to continue and I will
3 absolutely communicate to the individuals with
4 responsibility in this area what was communicated to
5 us today.

6 From my own perspective, again, your points are
7 very well taken and I do think that in a
8 resource-constrained time, we have to make smart
9 decisions about what is high risk and what is not
10 and to be willing to make decisions to stop doing
11 things that are not high risk.

12 But, again, for me, personally, I don't have
13 the expertise to make the assessment in this
14 particular context, because it is just not an area
15 where I have experience, so I'll bring this
16 information back to the folks who do.

17 MS. FREYA KOSS: My name is Freya Koss,
18 Pennsylvania Coalition for Mercury-Free Dentistry.

19 I would like to address a question or two to
20 Dr. Shuren. You mentioned that you are revisiting
21 your ruling on dental amalgam since the petition for

22 reconsideration was filed.

23 My concern is this. We are privy to your

24 original draft for the classification which included

25 many of the neurological problems. That draft went

1 to the Office of Management and Business, and they
2 changed it, and they removed most of those warnings.

3 I called the FDA to find out how that could
4 possibly happen. You had the science. You were
5 aware of the dangers. You wrote the rule
6 accordingly and OMB changed it.

7 How can we be guaranteed that won't happen
8 again? They seem to have leverage and you have no
9 options once they do that.

10 They are supposed to look at the economics, I
11 think.

12 Maybe you can explain more to me how they are
13 able to change a ruling that protects the American
14 public.

15 DR. JEFF SHUREN: In terms of OMB, I can't
16 comment on the specific rule, but I can say for OMB
17 was created by Congress, I believe, and they are
18 given oversight authority for some aspects on rule
19 making so they do -- they are part of a clearance
20 process in many cases for regulations going up and
21 out the door, and they may elect to review

22 particular rule makings from the Agency, as may some
23 other parts of the federal government before we go
24 out.

25 MS. FREYA KOSS: But what aspects are they

1 looking at? What are they mandated to look at?

2 And if you are the agency to protect the public
3 and you are looking at the health issues, how is it
4 that an agency that is supposed to look at the
5 economics make those changes?

6 DR. JEFF SHUREN: Well, they do look at policy
7 issues as well, but OMB is not a part of the FDA and
8 it is not something that we created, but they are a
9 part of or can be part of the review process for a
10 variety of different rule makings and other policy
11 actions by various different federal agencies.

12 MS. FREYA KOSS: So, do we need to get in touch
13 with them directly and discussed what happened so it
14 doesn't happen again?

15 DR. JEFF SHUREN: So, I can't tell you who to
16 speak to or not to speak to. We are still in a
17 democracy, but --

18 Let me leave it at that.

19 MS. FREYA KOSS: I was advised not to go there.
20 What does that mean?

21 DR. JEFF SHUREN: What?

22 MS. FREYA KOSS: That means we'll never get --

23 we'll never have an appropriate classification for

24 dental amalgam if they have anything to do with it.

25 DR. JEFF SHUREN: Well, I can't speak for OMB

1 and I can't control OMB and I don't know if we go
2 forward with the rule making what OMB will say, but
3 I do know we will control what the FDA's position is
4 and we will -- if there is actions to take, we'll
5 take what we believe are the appropriate actions.

6 MS. FREYA KOSS: Do you have to present the
7 science to them that your rule making is based on?

8 DR. JEFF SHUREN: So the answer is when a rule
9 goes up to whomever will review it, the rule itself
10 will discuss the basis for the decision being made,
11 and as part of the administrative record, it will
12 include all the data that supports that decision.

13 MS. FREYA KOSS: But they have the last word.
14 That's what it sounds like to me.

15 DR. JEFF SHUREN: What I can say is that
16 decision making on rule making, what ultimately
17 comes out is a -- and I am putting it
18 diplomatically -- a complicated process.

19 MS. FREYA KOSS: I know. I hear that. And
20 we're at jeopardy because of it.

21 I have one more question about -- I know you

22 don't want to hear from me anymore.

23 DR. JEFF SHUREN: I actually have to say,

24 Freya, I'm actually seeing you more than some people

25 in my family so I feel like I should be sending a

1 holiday card.

2 MS. FREYA KOSS: That's sad. That's too bad.

3 DR. JEFF SHUREN: I know. They won't let me
4 out of the office. So, what can I say?

5 MS. FREYA KOSS: The other question was about
6 classification. I know that the attorneys, who we
7 work with, believe that amalgam should be classified
8 as a Class III because it is an implant that's put
9 in the body for more than 30 days. Why is it
10 classified as a Class II?

11 DR. JEFF SHUREN: First of all, you can be a
12 Class II and be implanted in the body for more than
13 30 days. That's not how our classification system
14 works. But there are standards for determining what
15 the classification would be and we have to follow
16 what those rules and regulations are.

17 MS. FREYA KOSS: But this product is 50 percent
18 toxic mercury. It is in the body for more than
19 30 days. It could be in for a lifetime. Why
20 wouldn't that be considered a Class III?

21 DR. SHUREN: So all I can say we're back to

22 what the Agency will ultimately do, and what I can
23 say is, and I know you hate my saying this and I
24 hate not being able to be more clear with folks, but
25 we are actively reviewing it and we will be making a

1 decision and then announcing that to the public.

2 MS. FREYA KOSS: I hope you do the right thing.

3 Thank you.

4 DR. JEFF SHUREN: You are welcome.

5 MS. MARIA BOLTON JUVERA: Hi there. My name is

6 Maria Bolton Juvera. I live in Oviedo. I live

7 close to hear. I'm here on behalf of the local

8 group of the Sierra Club.

9 For those of you who may not know, Sierra Club

10 is the oldest grass roots environmental

11 organization. It is from 1892.

12 So, I'm going to speak on behalf of Sierra a

13 little bit and then as a civilian, an individual.

14 My first question as an individual is: Do you

15 have any amalgam fillings in your mouth?

16 DR. JEFF SHUREN: Actually, I have no fillings

17 in my mouth.

18 MS. MARIA BOLTON JUVERA: Have you ever?

19 DR. JEFF SHUREN: No, I mean I have no --

20 never.

21 MS. MARIA BOLTON JUVERA: The gentleman next to

22 you, do you happen to have any? Honestly.

23 DR. STEVEN SILVERMAN: Well, I mean, I

24 certainly wouldn't lie if I did. I know I have some

25 composite fillings. You are more than welcome. I

1 mean there are a number of dentists in the room. If
2 somebody wants to -- I am confident that these --

3 MS. MARIA BOLTON JUVERA: Are they mercury,
4 though?

5 DR. SILVERMAN: I am not a dentist. I don't
6 know what composite in my mouth contains or doesn't
7 contain. I am confident that in the past I have had
8 fillings implanted that probably included mercury.
9 I grew up in the sixties and seventies so I --

10 MS. MARIA BOLTON JUVERA: Right, before they
11 knew.

12 DR. SILVERMAN: But my point is simply that I
13 would have gone through kind of standard dental care
14 and the likelihood, if I had cavities, they were
15 being filled with a mercury composite, then probably
16 yes.

17 MS. MARIA BOLTON JUVERA: I would like to see a
18 study done of who is with the FDA and how many have
19 the more expensive ones that are typically not
20 covered by the insurance or if they have the mercury
21 ones and maybe some kind of data showing who is

22 being affected and how that's affecting the pay, how

23 that's affecting the --

24 Forgive me. I don't do a lot of town meetings.

25 This is, like, one of my second times talking on a

1 mic.

2 I'm just curious. Obviously, since you are
3 saying one thing, I'm wondering whether or not you
4 have mercury in your mouth so that's interesting. I
5 would love to see a study done on government
6 employees and how they are doing if they do have
7 mercury.

8 But anyway, back to Sierra as an
9 environmentalist, I'm not paid to be here. I'm
10 29 years old. I'm a freelance artist and I care
11 passionately about the environment and the animals
12 since we are all connected.

13 I have something here and this isn't going to
14 be anything new for any of you.

15 But anyway, mercury pollution is widespread in
16 U.S. rivers, lakes, bays with dangerous amounts of
17 mercury commonly found in freshwater and saltwater
18 fish. Over 50 percent of Florida's rivers and lakes
19 have warnings regarding eating the fish and most
20 bays. Over 33 percent of all U.S. lakes have fish
21 consumption warnings, 15 percent of all U.S. river

22 miles, 90 percent of Atlantic coastal miles, and 100
23 percent of all Gulf coastal miles. Most Gulf cost
24 saltwater predator fish species have high levels of
25 mercury.

1 Anyway, as an environmentalist and someone
2 that's concerned about the environment with how the
3 dentists and other people in the profession were
4 saying how they are disposing of the mercury and if
5 it is getting contaminated and into the environment,
6 that's why I'm here talking, well, what about the
7 fish, what about the animals, what about it getting
8 into the watershed.

9 Us living in Florida at sea level, we deeply
10 affect the aquifer here and the watershed so that
11 definitely should be a concern as far as how are we
12 disposing of the mercury, how about we stop using
13 it, putting it in our mouths.

14 I was also curious. The person that presented
15 the gift from the one guy, the mercury gifts, I was
16 wondering hopefully they are not going to be just
17 tossed in the trash, because now that you have the
18 high mercury content, that needs to be disposed of
19 properly like everybody was saying; otherwise,
20 that's, in turn, contributing to the problem.

21 So, as an environmentalist, that's my concern.

22 And I'm actually a vegetarian now. So, fortunately,
23 I don't have that mercury problem with the fish,
24 because I've given up eating fish fortunately, and I
25 consider myself very lucky that -- I had no idea

1 about any of this, but I consider myself very lucky
2 that I had the silver, "silver", silver fillings as
3 a kid, and fortunately I lost those teeth, kind of
4 like you, so I don't have that problem.

5 But my heart goes out to everybody that spoke
6 on the mic today. I was about to start crying.
7 I'm, like, I have got to hold myself together here.
8 But for anybody that has had these fillings over the
9 last 50 years and now to be suffering with all these
10 problems, and when you entrust yourself in the
11 government, and the FDA is here to take care of you
12 and either they are too proud and they can't back
13 track for 200 years and say, you know, we made a
14 mistake. Like someone said, it is from the 1800s.
15 How can we rely lie on that kind of data now?

16 You need to step off the high horse, realize
17 that there was a mistake. There needs to be some
18 kind of retribution or at least an apology. I
19 haven't even heard an apology today to anybody
20 that's been suffering from a condition to say we're
21 sorry that you have MS or cancer or you are dying or

22 you are losing your hair.

23 The government needs to step up. And you guys,

24 I realize you are taking the heat. You are one of

25 the first tiers. There is other people. And it is

1 going to be a long process, but there needs to be
2 some kind of action done.

3 I can't imagine that all these people just have
4 eaten too much fish or swordfish or have done
5 something else. There is too much data. There is
6 too much science at this point, and I would love to
7 hear an apology and some kind of action being taken
8 this year. It is 2011. It is not the 1800s.

9 George Washington is probably turning around in
10 his grave right now thinking can someone save the
11 American people.

12 We're a fairly new country, but that doesn't
13 mean that we have to be too proud to not apologize
14 to our own people.

15 So thank you for being here. I'm sorry that
16 you are taking all the heat.

17 And I'm an emotional person. I am half Italian
18 and I am half Irish so it is very hard for me not to
19 get emotional.

20 Thank you for being here. Thank all of you for
21 speaking. And I'm really sorry for anybody that's

22 been affected here healthwise by the government, by
23 the United States of America. We're supposed to be
24 taking care of our people and you need to be less
25 proud and just realize what's been done and make

1 change for a better health care.

2 And it is interesting. One last thing. I find
3 it very, very interesting, forgive me, that the
4 pharmaceutical industry is one of the top five
5 businesses in this country. I hope, and this is the
6 cynical side of me, I hope that this isn't the case,
7 but for everybody having the mercury in their mouth
8 and getting sick and then having to get on a drug
9 and then you are hooked on that drug, it is a cycle,
10 the cycle needs to end. Holistic medicine, finding
11 out what's wrong from the get go versus getting on a
12 pill that's -- that the sides effects are going
13 blind or losing a leg or, worse case, death, let's
14 not do that. Let's be more green and more eco and
15 holistic and let's take care of our people. Thank
16 you so much for being here and thanks for listening
17 to all of us.

18 MS. CINDY TAO: Good morning, Dr. Shuren. I'm
19 Cindy Tao. I'm from a pharmaceutical company in
20 Princeton, New Jersey.

21 My question today is regarding human factor

22 testing. And human factor testing is an evolving
23 area and obviously FDA has heightened the
24 requirement for this testing. And in 2010, last
25 year, CDRH endorsed the HE 75. That's the

1 international guidance on this. And even with that
2 guidance and also the old 2000 FDA guidance on human
3 factor testing is quite generic for a specific
4 device to follow.

5 So, we thought it could be a good idea to have
6 a set of guidance for the required human factor
7 testing for a specific group of devices. And I'm
8 asking if CDRH has thought about working on set of
9 guidance of required human factor testing and
10 successful criterias for a specific group of device.

11 Do you have any plan on that?

12 DR. JEFF SHUREN: For specific devices, we are
13 looking at providing greater clarity for certain
14 technologies, and actually, last year we started
15 asking for on a case-by-case basis and that might
16 become for all infusion pumps. Those are the boxes
17 that control rate and flow of fluids. That could be
18 medication. It could be just to make sure someone
19 is hydrated. It could be for nutrition.

20 Just so folks know, human factors is actually
21 the engineering science regarding the interface of

22 people with machines. And so, if you think about
23 it, if you don't take into account the people who
24 use the technology and the setting in which it is
25 used, you may design what you think is a well

1 functioning technology, but in fact and practice,
2 you may run into errors that are made due to flaws
3 in the design of the device, and that matters a lot
4 more for some devices than it does for others.

5 And human factors testing is actually about
6 taking what you develop and let people use it and
7 see what happens.

8 And in infusion pumps we found things like the
9 button to turn on and off the machine was right next
10 to the button to turn on and off the flow so you
11 turn the machine on, you set it for how much of the
12 medication you want to give. You think you hit the
13 button to turn on the flow and you actually turned
14 off the machine. Example of human factors, which is
15 why we're looking to change our practices regarding
16 that technology.

17 So, to answer your question, we are looking at
18 certain devices where it may make sense to provide
19 some greater clarity or to expect to receive studies
20 on human factors, but we're approaching that case by
21 case, because as you've laid out circumstances may

22 be different for different technologies.
23 That may not translate into specific guidance
24 on that technology initially, but it might down the
25 road.

1 More generally, we would look to provide
2 greater clarity on human factors testing.

3 MS. CINDY TAO: Because the HE 75 does include
4 hundreds, maybe thousands of device in Class I and
5 Class II. And CDRH does have a lot of specific
6 guidance in past ten years, I should say, on
7 specific groups of devices like you mentioned
8 infusion pump, for example, and others like glucose
9 monitor. And we just wonder if you can have that
10 kind of guidance on human factor testing, what
11 specific set of testing is required by agencies.

12 So, that way, you know, so can follow and so
13 there is no misunderstanding and it will safe review
14 time as well.

15 DR. JEFF SHUREN: Thank you.

16 MS. JOCELYN JENNINGS: Hello. So I wanted to
17 ask a couple of questions, and so, one that I had
18 submitted earlier because I was hoping that Alberto
19 Guitierres would be here from OIVD so it has to do
20 with that dreaded 1991 blood culture guidance
21 document and so my question is, one, whether or not

22 there are plans to update it and, two, does the FDA

23 consider it still relevant?

24 DR. JEFF SHUREN: So there aren't plans to

25 update it right now, and probably the best thing to

1 do for those kinds of technologies is to, I mean,
2 you know them, you just look under the pro code in
3 our database, and then, for any of the recent 510(k)
4 clearances, we'll lay out what our expectations were
5 for that device, and that actually can be fairly
6 informative as to what we may be seeking on another
7 device coming in the door.

8 This is one of those cases where we have the
9 capacity to put out a certain number of guidances or
10 update a certain number of guidances each year, and
11 while we love to do that for the many guidances that
12 are out there and develop more, we do not have the
13 capacity to put out what we would really like to see
14 and that one is lower on the cue than many others
15 out there.

16 One of the other challenges and one of the
17 reasons we put out the basis for our decisions is so
18 that people can actually see why did we approve or
19 clear a device, what did we rely on, what did we ask
20 for.

21 That's so doctors and patients have a better

22 understanding. It is also for manufacturers to have
23 a better understanding of what our expectations are
24 if they're making a similar kind of technology.
25 If we were positioned to do it, we'd love to

1 put out more guidances and we'd love to update on a
2 much more frequent basis those guidances that are
3 out there.

4 MS. JOCELYN JENNINGS: And I also had a
5 question about the 510(k)s about the specials and
6 the abbreviated. So, are those two particular types
7 of 510(k)s still applicable and is there a change in
8 the FDA's thinking about those two particular types
9 of 510(k)s?

10 DR. JEFF SHUREN: So they are still available
11 as pathways generally, but they may not be available
12 for certain technologies. And sometimes as we gain
13 more experience, it may have been not appropriate in
14 the past to allow a special 510(k) and abbreviated
15 510(k), and with more experience over time with both
16 industry and the Agency with the technology, it then
17 may be appropriate to allow for those more
18 streamlined pathways to market, and in other cases
19 with more experience, it may not be appropriate to
20 continue to accept those kinds of applications.

21 An example is with some of the radiation

22 therapy devices like accelerators for which you
23 could submit a 510(k) before. We had announced last
24 year that we are now looking generally in most cases
25 for a traditional 510(k) because of the some of the

1 problems that we had seen that was not able to
2 readily detect if we just have a special 510(k).

3 MS. JOCELYN JENNINGS: Okay.

4 DR. JEFF SHUREN: We approached that more on a
5 technology-by-technology-basis.

6 MS. JOCELYN JENNINGS: Because we have
7 previously submitted special 510(k)s for our blood
8 culture bottles and we were told recently that we
9 should submit a traditional rather than a special
10 even though it met the criteria for a special, and
11 we were not really given a scientific or regulatory
12 reason as to why we could not submit that special
13 510(k) so that's why we were asking and we have a
14 concern that at some point those two options may go
15 away.

16 DR. JEFF SHUREN: Not as a general matter. For
17 your specific device, again, I said we wouldn't talk
18 about specifics here, but I would follow up with
19 Alberto or Don Saint Pierre separately on that
20 question, and sometimes it changes because if there
21 is a change in the device that you were bringing

22 forward, and I don't know the specifics, it may be
23 that in this case, if you made changes, a special
24 would not be appropriate in that circumstance.

25 MS. JOCELYN JENNINGS: And then I was wondering

1 if you could point me to who I can talk to to get
2 help with this dilemma I have with our device that
3 is under the regulatory review of CDRH and we are
4 following as a migration guidance document for our
5 bottles to show that they are equivalent on our new
6 instrument versus our old and we are being told
7 because one of those bottles is under the review of
8 Cebert that we have to submit two 510(k)s even
9 though the instrument isn't regulated by Cebert, and
10 we can't seem to get someone to give us a straight
11 answer, and we don't understand why within the FDA
12 CDRH cannot talk to Cebert about that one
13 particular -- the data for that one particular
14 bottle rather than us having to spend the time and
15 money to do two 510(k)s and also spend another
16 \$5,000 on user fees.

17 DR. JEFF SHUREN: We normally, again, not
18 knowing on the specifics, we -- when there are cases
19 where two centers are involved, we try to do that
20 under one application.

21 Usually, if we're coming back to say you need a

22 separate one, it is because the second technology
23 you are dealing with was changed enough that that
24 other center would actually ask for a different
25 application, but -- and not knowing the specifics, I

1 would say -- if you can either e-mail me and I'll
2 connect back with Alberto or over to Alberto.

3 MS. JOCELYN JENNINGS: Okay.

4 And then my last question is, I know within the
5 activities that you are doing last year and this
6 year, one of the big things again is predictability,
7 consistency and transparency, and so, I just wanted
8 to know if you could give some more feedback about
9 how that will truly be implemented.

10 DR. JEFF SHUREN: So if you take a look, I'll
11 give a little bit of an overview, but we've laid out
12 exactly what we plan to do and, in that plan of
13 action we posted in January. So, there are two
14 pieces that actually summarizes all the public
15 comments, and it discusses each of the
16 recommendations and what we were planning to do
17 regarding and we made, by the way, 55
18 recommendations in August 2010 that will go through
19 all of those, and then we follow that up at the same
20 time we went out with and here is specifically what
21 we're going to do in 2011 and with the time frames

22 for when we would do them, and that's all up there.

23 And as we take actions, we then post what we've

24 done on our website. So, there is a lot of

25 transparency about the specific actions we're

1 taking.

2 By categories, we are providing some greater
3 clarification regarding the 510(k) process in some
4 aspects pertaining on clinical trials, particularly
5 as regards PMAs and a number of those guidances we
6 made commitments about getting out the drafts this
7 year.

8 Secondly, as an internal mechanism on oversight
9 for decision making, particularly if there is a
10 decision to change the expectations for what kind of
11 data needs to be submitted, those decisions which
12 before were made down in the review branches will
13 now be brought to a new center science council for
14 consideration so that we assure that our senior
15 managers and our experienced scientists have an
16 opportunity to weigh in on that, and we had
17 committed to set up that center science council with
18 a charter by the end of March. We met that
19 deadline. The council is already set up. It is now
20 meeting. I sit on that counsel as do the office
21 director. Steve sits on that council. And we also

22 bring in a number of experienced people depending

23 upon the topic. That's another big aspect of what

24 we're doing.

25 There will be additional training opportunities

1 for staff for the very first time. The center will
2 have mandatory training for the reviewers on core
3 competencies and they will have to get certified as
4 new reviewers.

5 And we have now been going across different
6 disciplines with the reviewers, with medical
7 officers, public health specialists. All of those
8 core competencies are being developed and the
9 certification program has been piloted and we are
10 moving.

11 MS. JOCELYN JENNINGS: So, for the core
12 competencies and the certification, is there a look
13 to do it for current reviewers as well or just new?

14 DR. JEFF SHUREN: Mandatory on new and then
15 we're looking at opportunities for what we may
16 expect of people who have already been there.

17 MS. JOCELYN JENNINGS: Okay. Thank you.

18 DR. JEFF SHUREN: You're welcome.

19 UNIDENTIFIED MALE SPEAKER: Thank you. I had a
20 rather general question for you on the amalgam issue
21 basically. Do you know within the ten years or so

22 if there has been applications come your way for a
23 classification of some replacement material,
24 particularly one with the gallium involved in it?
25 Has that been submitted to your office?

1 DR. JEFF SHUREN: With gallium, I don't know
2 offhand.

3 UNIDENTIFIED MALE SPEAKER: Has there been
4 other, beyond the gallium, has there been other
5 materials that have been proposed? Is that
6 something that you are free to say anything about.

7 DR. JEFF SHUREN: In terms of what may be
8 submitted to us, then I can't talk about that.

9 UNIDENTIFIED MALE SPEAKER: Right. But okay.
10 Let me ask you another question. I'll get you off
11 of that.

12 DR. JEFF SHUREN: I actually can't because that
13 information is considered by law confidential and
14 we're obligated not to discuss it.

15 UNIDENTIFIED MALE SPEAKER: That's fine.
16 Speaking of economics here --

17 DR. JEFF SHUREN: Now, if you do want to go
18 talk to certain people to change those requirements,
19 we would probably then have an eight-hour town hall
20 meeting.

21 UNIDENTIFIED MALE SPEAKER: Yeah, that's right.

22 We could do that.

23 Talking about economics for just a second, it

24 could be that it is fair for you to answer this

25 question or not. But relative to the funding for

1 the FDA, you mention we got some from Congress and
2 some has come from the medical device industry.

3 Would it be fair to say that there would be
4 significant contribution from an amalgamator type
5 operation that would help to fund that end of your
6 department?

7 Would there be some organization, some business
8 within that medical device that is participating in
9 the funding of your department?

10 DR. JEFF SHUREN: Yeah, any of the
11 manufacturers are required to register with us.
12 It's telling us who they are. They list, they tell
13 us what they make. There is a fee with
14 registration.

15 Then, if they are submitting a application for
16 a new device or certain changes to an existing
17 device, many of those applications have fee amount
18 tied to it and that applies to all manufacturers.

19 UNIDENTIFIED MALE SPEAKER: Does the FDA keep
20 track on an annual basis, do you think it would be
21 available to me if I ask what the contributions

22 might be, variations from different companies and

23 from Congress? Is that data available readily so?

24 DR. JEFF SHUREN: The data in terms of our

25 budget is publicly available from what we get from

1 Congress. We also report on what our user fee
2 collections are globally and we put that out in an
3 annual report.

4 Specific for individual manufacturers, that
5 information doesn't go out publicly.

6 UNIDENTIFIED MALE SPEAKER: Thank you very
7 much.

8 MS. JULIE SADLIER: I thank you for being here.
9 I'm going to remove my fillings as soon as I get out
10 of here next week. I've learned today.

11 But I just wanted to ask -- I was here about
12 the ultrasound. Does the FDA have any regulations
13 on the 3d/4d separate centers that are just there
14 for entertainment purposes?

15 And if they do -- we were told at one of our
16 other meetings you guys just have a lot on your
17 plate and we're kind of at the very bottom of the
18 totem pole -- do you have something in place to
19 reinforce it?

20 And if you don't because of funding or we're
21 kind of last on your list, would you be willing to

22 work with volunteers, say, from chapters of the SDMS
23 in every state to help you?
24 I've done the research and found out these
25 physicians aren't legitimate. Anything we can do to

1 help in any response to the regulations and what you
2 foresee?

3 DR. STEVEN SILVERMAN: So, I'll respond to your
4 comments, not about the fillings, but about the
5 sonograph issues.

6 I suspect that comments that you were
7 referencing previously probably came from me,
8 because I had an interesting
9 conversation with a representative, I believe, of
10 your society at a prior town hall meeting.

11 And just to clarify, the message was not, was
12 not that you are at the bottom of the totem pole.
13 The message I think is kind of consistent with what
14 I said earlier in that there are many different
15 demands on the Agency, and because we don't have
16 resources to take all the actions we would like to
17 take, we have to make decisions on a risk basis so I
18 encouraged the gentleman with whom I spoke, and I
19 will give you the same encouragement, to the extent
20 that you are able to give us analytical evidence
21 that demonstrates potential risk to individuals

22 associated with these procedures, that's extremely

23 helpful.

24 I will also tell you that that while on the one

25 hand, for policy reasons, we don't talk about

1 specifically what we're planning in terms of
2 compliance actions or which firms or providers we
3 intend to take action against, this is an issue
4 that we are aware of and we're looking at actively.

5 To your question about other opportunities to
6 collaborate, I think the answer is absolutely yes.
7 I mean, kind of speaking generally, again, when it
8 comes down to resource questions, because we have
9 constraints, we can't take as wide a scope of action
10 as we'd like so we need to look for alternative
11 strategies, and finding opportunities to get the
12 message out through constituents is a great example
13 of that, and so, you can contact me. You can
14 contact others in my organization. I can put you in
15 touch with folks within the office of compliance who
16 have the lead in this area.

17 One thing we've been trying to do more so
18 recently is to think about how do we communicate to
19 the public? And I think that this is a really good
20 example, a situation in which that kind of strategy
21 may be most applicable.

22 So, I mean, if you've got a manufacturer that
23 is putting into a marketplace a device that doesn't
24 have proper clearance or it is made in a way that is
25 manifestly unsafe, then it is relatively -- it is

1 more straightforward for us as an agency to go to a
2 manufacturer and say you are out of business, you
3 are enjoined from doing this until you clean up your
4 act.

5 The situation becomes more complicated where
6 the product is widely distributed and it is used in
7 some situations totally legally and used in some
8 situations in ways that may be unsafe or illegal and
9 where consumers are primary drivers of those market
10 places and may not have all the relevant
11 information.

12 So, one of the things that we've been thinking
13 about as an agency is where there are opportunities
14 for us to get messages out to consumers, in this
15 case pregnant women, how can we within the Agency
16 work with outside groups as well to tell
17 women. This is just in the case of boutiques
18 sonography, this is just a bad idea, and even if
19 there is not kind of manifest evidence of risk to
20 women and their fetuses, excessive exposure to
21 sonographic energy is a bad idea and ought to be

22 avoided.

23 Those are messages that we absolutely stand

24 behind, and to the extent that we can find ways that

25 don't require a significant resource in terms

1 traditional enforcement strategies, if we can get
2 that information out, I think it is a real win/win.

3 MS. JULIE SADLIER: Just one final thought.

4 When I asked the patient after we did all that
5 information, and we won't go into healthcare and
6 politics, but most of our patients are now Medicaid.
7 We've had to take that on because of the economy.
8 It amazes me that people can't pay for insurance,
9 but they can pay for boutique ultrasounds. And then
10 they have to come back to us and we do this
11 arrangement of tests and put them out because we
12 have to cover our butt basically from this being
13 done.

14 Our practice, the first thing we did is put out
15 a memo so every single patient when they come in
16 gets a little folder and we have a memo: This is
17 how we stand. This is what we believe. Stay with
18 us. We understand you want a cute picture. You
19 know. We get it, but here's what we believe.

20 The patient when I asked why did you go there,
21 we are your doctors, we do ultrasounds here, and she

22 said, "I didn't know better. I didn't know. She
23 said she was a doctor. I had no idea. I thought
24 she would have called you."

25 So, I think that's be part of the problem.

1 Somehow -- I don't know how we can educate, but just
2 from going on the websites, I think I've seen they
3 are illegally using SDMS and AIUM, and I've gotten
4 those off. It is just interesting. I'm just one
5 person and just spent a couple minutes, and I
6 thought we can join together. I know we'd be
7 certainly glad to do that.

8 So, thank you for your time.

9 DR. STEVEN SILVERMAN: Absolutely. Thank you.

10 UNIDENTIFIED MALE SPEAKER: Hello. I was
11 supposed to be on the speakers list and something
12 happened. My story is only about three minutes
13 long. Is that okay?

14 DR. JEFF SHUREN: Sure.

15 UNIDENTIFIED MALE SPEAKER: This is about I've
16 suffered from schizophrenia for many years. I have
17 kind of a panic/anxiety disorder so I'm going to try
18 to do this on my own; if not, we have a plan B in
19 place.

20 I remember in elementary school, I was
21 considered above average. I won the school spelling

22 bee. I corrected the teacher once. Then, my

23 amalgams were put in around 5th grade.

24 My dad died in 1980 when I was 10 and I thought

25 of overdosing on sleeping pills in our barn.

1 Despite my dad's death, everywhere I went, everyone
2 commented on what a nice young man I was, and things
3 were still pretty good for me up to age 14. Then
4 the nightmare began.

5 At age 15, I started hearing voices and
6 hallucinating. I started punching holes in the
7 walls and ripping doors off the hinges. The voices
8 and hallucinations were so bad that once, when my
9 sister made me mad, I picked up a machete and I
10 started walking towards the living room where she
11 was. In my confusion, I was going to kill her and I
12 also thought my mom would approve of her death. I
13 put my mom and my grandmother, two sickly widows,
14 through torment over the next few years.

15 One day, when I was mowing a friend's yard, I
16 had a compulsion to put my hand on the steaming hot
17 carburetor. I did so and my hand swelled up until
18 it looked like a baseball glove.

19 High school was hard for me. I started having
20 panic attacks and anxiety. My grades went to
21 straight Fs. The kids I went to elementary school

22 with asked me if I had taken too many stupid pills,

23 and I quit high school soon after that.

24 When I was 18, I moved away from my family who

25 really needed me to be the man of the house. I was

1 not there for my grandmother when she passed away
2 during this time.

3 In 1999, I had my first suicide attempt. I
4 went to a psychiatric hospital and there I learned
5 about the mental health system and people who were
6 going through the same thing I was.

7 The next year, my mom passed away. I was not
8 there for her death either. I was in a mental
9 health facility when she died. Over the years, I
10 would have 30 or 40 admissions to these facilities,
11 probably costing the taxpayers at least a million
12 dollars.

13 My sister soon disowned me because I wasn't
14 there for my mom and I haven't seen her since. My
15 whole family was gone now. If I hadn't had the
16 other people in the mental health system, I never
17 would have made it.

18 I hadn't always done so well on my jobs so I
19 went to disability. I developed a problem with
20 shoplifting during this time. I was having
21 compulsions to steal things, sometimes of very

22 little value, even only a dollar. This was part of

23 the reason I wound up in jail for a short time.

24 Over the next few years, I had incredible

25 memory loss and could barely remember my family

1 anymore.

2 In 1994, I felt some temporary relief and I
3 went off of disability for the next five years and
4 worked full time. I was still hearing and seeing
5 things, but I managed to push through. I was
6 attending a great church during this time and I
7 really felt like a member of society. I managed to
8 get some college credit and felt on top of the
9 world.

10 But around '97, the illness started kicking in
11 again. I was at work when I saw a talking severed
12 head right next to me. That was when I first truly
13 acknowledged my illness. I would be walking and
14 would have compulsions to go back and rearrange
15 leaves on the sidewalk. I was seeing demons
16 laughing at me.

17 I soon lost work, school and church. I started
18 having to go into the hospitals again, pounding on
19 cement walls begging for it to stop.

20 By 1999, I went back into the mental health
21 system and lived at a residential facility. I went

22 back on disability. I started having massive
23 homicidal thoughts. For awhile, I would get sent to
24 isolation rooms or be tied to the bed because of the
25 homicidal thoughts. I would see talking severed

1 heads all around me all talking. It got so bad
2 around this time that I knew I would be
3 institutionalized to a state facility probably for
4 the rest of my live.

5 It was around 2000, I remembered hearing about
6 this CBS television 60 Minutes special on dental
7 mercury, which mentioned that psychiatric patients
8 had become well after removal of their amalgam
9 fillings and I decided to have mine removed. My
10 symptoms went down by a third that week. It was
11 enough to keep me out of the state hospital, but I
12 still had symptoms and it would still be seven more
13 years before I would be completely detox'd from the
14 mercury.

15 One of my worst points was I told a friend I
16 wanted to stab somebody at random because I wanted
17 to go to jail. Soon, though, I discovered chelation
18 therapy and it helped in part to clear my head and
19 the homicidal thoughts, but the mercury must still
20 have been embedded in me.

21 In 2002, the voices and hallucinations were

22 still so bad that I had my third and most severe
23 suicide attempt. I took a massive overdose of
24 prescription medicine and almost died.
25 The next five years would still be difficult.

1 For awhile, I would pace eight or more hours
2 straight from my kitchen to the bedroom and back
3 again. My compulsive disorder got so bad -- just
4 one more page here -- that if I didn't wash my hands
5 a certain number of times, I would throw away
6 anything that I touched. I wound up throwing away
7 almost everything I owned.

8 At one point, I was hearing voices coming out
9 of an unplugged radio saying my name. I would hear
10 the telephone ringing over and over all day long.

11 This is the part that's hard to admit, but
12 mercury can -- mercury intensifies thoughts so it
13 can increase the sex drive. I had to deal with many
14 sexually deviant thoughts over the years. I have
15 done some things sexually that I would not have
16 normally done.

17 Finally, in 2007, after doing ionic foot baths,
18 I feel totally detox'd had from the mercury. I have
19 some permanent damage from the amalgams, but
20 removing the mercury has taken intensity of my
21 illness to where I'm not a danger to myself or

22 anybody else, and I want to thank God for getting me
23 through this.

24 I've seen incredible suffering from this
25 illness in the last 22 years. Three people at a

1 mental health social center I go to have lost to
2 their illness and committed homicide, and one of our
3 friends who used to come to my social center was
4 killed by another client.

5 I know when people watch the news and hear
6 things like that, it makes them mad. But I feel
7 anger mixed with sadness because they could be going
8 through the same thing that I did. And I truly
9 believe that over 50 percent of people in jail or
10 prison could be mercury related.

11 Life is looking up for me now. I've been
12 visiting my old church and I'm doing better. I
13 found a job program that is going to help me get
14 back to work. I've gotten all my memory back and I
15 should be working part time soon.

16 In closing, I think we should remember the
17 example of Louis Pasteur who said you have to wash
18 your hands before surgery and was mocked for many
19 years.

20 Thank you.

21 MS. MERRY LEE BAIN: Hi. My name is Merry Lee

22 Bain, and I'm a medical device regulatory

23 consultant. I just have a couple of general

24 questions for you.

25 Recently, there has been some talk about kind

1 of revamping or reviving the interactive review
2 process. Could you maybe speak a little bit more on
3 that and see maybe what you are thinking what the
4 targets might be, how that may be practically
5 implemented?

6 DR. JEFF SHUREN: So we did commit as part of
7 our strategic priorities for this year to reassess
8 interactive review and then consider about making
9 changes to that program so that is under way.

10 Much of the issues with interactive review have
11 been the following. It can be beneficial, but in
12 some cases, depending upon how it is handled by the
13 Agency or by the company, it has led to sometimes
14 longer review times, even though that's not what the
15 ultimate intent was.

16 Interactive review, for folks, is the ability
17 for the FDA reviewer and for the company to try to
18 address issues through a more informal back and
19 forth. In our review, we are assessed, in part, by
20 the amount of time it takes us to complete our
21 review. We attempt to be more timely. We have

22 performance goals.

23 In the interactive review, that continues to be
24 a back and forth on the time the FDA spends on a
25 review, and so, part of what we try to work out is

1 if we're asking a question of the company, if the
2 company takes off for a long period of time and
3 we're waiting, it looks as if we're taking a long
4 time on the review when, in fact, we wait for the
5 company to get back to us, and that's been one of
6 the challenges we try to work out.

7 So how can we stay and try to have timely
8 review, but by the same token, see if we can address
9 unresolved questions more informally than through a
10 formal communication by the Agency, which then stops
11 the clock and it goes over to industry, and that's
12 just background for the folks.

13 We'd like to have interactive review work. So,
14 not only are we doing our assessment as part of our
15 discussions on reauthorization of the User Fee Act,
16 which is ongoing now with both industry and other
17 stakeholders, this is a topic of discussion.

18 MS. MERRY BAIN: Do you foresee having a
19 target, like, do you expect certain time lines or
20 certain number of communications? I know it is all
21 kind of --

22 DR. JEFF SHUREN: Yeah, and the reason I don't
23 know is because it is part of the dialogue that's
24 going on now and that's not wrapped up.

25 MS. MERRY BAIN: And then my other question

1 was --

2 DR. JEFF SHUREN: But when we do, we'll talk
3 about all of that publicly.

4 MS. MERRY BAIN: Interactively?

5 DR. JEFF SHUREN: Interactively, yes.

6 And any things that, by the way, come out under
7 user fee, we put out for the public to comment on.
8 We're going to have a public meeting on that and get
9 a comment on that before it goes final.

10 MS. MERRY BAIN: My other question was about
11 building expertise within the FDA staff. I know new
12 technologies, combinations, the different things
13 they are seeing now at CDRH, how do you give people
14 incentive to come work for the FDA that have that
15 expertise?

16 I mean I know a lot of your reviewers are fresh
17 out of college and don't have the real experience
18 that sometimes may be needed, and I'm just curious
19 about how maybe you can build some of that in.

20 DR. JEFF SHUREN: Two things. First, I must
21 say that we have actually an amazing group of

22 experts at the Agency. In some cases, they are
23 major people in that field, either in that
24 discipline of science or in the technology. But it
25 is also unrealistic to expect that we're going to

1 have in house all the experts who are going to
2 understand particularly new technologies, because in
3 those cases, it may be a very small handful of
4 people who have any kind of experience with it.

5 So, I've got two issues: One about how do we
6 assure we're recruiting the best and the brightest
7 to be at the Agency.

8 One, we need the dollars to make sure we can
9 have the cadre of people.

10 Two is one of the challenges in recruiting or
11 retaining good people is -- one is the pay within
12 the government does not like what they can make in
13 the private sector, and that's been an issue. The
14 second is the workload that they have on their backs
15 when they come into the Center. The workload is
16 very high and it leads to the higher turnover we see
17 in our center for devices, because we have a high
18 workload, but not necessarily all the people to get
19 that work done.

20 The third is -- and this is no criticism to
21 people here, but when I was younger, public service

22 was viewed very differently. I don't know how many
23 people go back even to the times of JFK, and I was a
24 JFK baby. I will now date myself. But people
25 talked about public service in a different way. I

1 will say the tone of discussion both in Washington
2 and for the public on blaming everything on the
3 government beaurocrats, these horrible people, who,
4 by the way, are friends and neighbors of people,
5 they may be in your community.

6 I was a practicing physician. I was on faculty
7 for many years. I felt that it was important to
8 engage in public service, but I will tell you the
9 number of people who then to try to get into
10 government look at it and say why? We tried to
11 recruit some people and they say I don't want to put
12 up with that crap, I don't want to go and be told
13 I'm part of the problem if I'm actually trying to be
14 part of the solution. And that actually is a
15 difficulties incentive on our recruiting. We have a
16 number of people that won't do it because they don't
17 want to be put under that microscope.

18 I will tell you the people who are at the
19 Center are amazingly dedicated, who say regardless,
20 this is important work, we need to be here.

21 The second piece is, as I mentioned, not

22 realistic to have all the experts in house, one of
23 the other actions we are taking this year is for
24 setting up networks of external experts, people we
25 can go to to ask scientific questions, not

1 regulatory decisions about approve or not approve,
2 but better understand the science who we can tap
3 into as needed. But to make that work, I at least
4 need a sufficient cadre of experts in house, because
5 I'm a neurologist. You are not going to send a
6 dermatologist or biomedical engineer to have a
7 conversation on neurology, and vice versa, I'm not
8 going to send a neurologist to have a conversation
9 on orthopedics.

10 So, even if you tap into outside experts, you
11 need to have the internal cadre who at least can
12 have that conversation. We need to do both pieces.

13 MS. MERRY BAIN: Do those outside experts that
14 you might bring in, are they paid, are they
15 reimbursed for their time, or how does that work?

16 DR. SHUREN: Yes. So, right now, we do have
17 outside experts who go through who are what are
18 called special government employees. There is a
19 very intensive screening. And those people are the
20 pool we tend to choose from, from our advisory
21 panels and their expenses are reimbursed when they

22 come in.

23 For the networks we're looking at are not for

24 paying people. It is for people who are willing to

25 spend a little bit of time to at least talk to us

1 and try to answer some questions if they are experts
2 in a field.

3 MS. MERRY BAIN: Kind of be a sounding board
4 and that kind of thing?

5 DR. JEFF SHUREN: Yeah, well, not on policy --

6 MS. MERRY BAIN: No. On science.

7 DR. JEFF SHUREN: -- but on science, because
8 there are a lot of very smart people that understand
9 the science that we need a way of being able to
10 reach out to them to answer questions that we can do
11 within the legal framework and the constraints that
12 we operate under, but so we can leverage that
13 expertise in our decision making.

14 MS. MERRY BAIN: Okay. Thank you.

15 DR. JEFF SHUREN: You are welcome.

16 UNIDENTIFIED MALE SPEAKER: Good morning.

17 DR. JEFF SHUREN: Is it still morning?

18 UNIDENTIFIED MALE SPEAKER: It's close. It's
19 close. I appreciate your time today, Doctor, and
20 Mr. Silverman.

21 I'm a Ronald Reagan baby so I'm not only dating

22 myself, but painting myself a color. I also
23 appreciate your comment that this is a democracy;
24 but, I would also like to remind you that as a tax
25 paying American, it is also a republic so we

1 appreciate that you are going out and learning that
2 you can, but that you also make the right decisions
3 as you see them as opposed to maybe the popular
4 ones.

5 I appreciate everyone on the amalgam
6 perspective. That was new to me, and I appreciate
7 all the information I've gotten today.

8 I am from a medical device company so I do have
9 a medical device question. A young lady was up
10 earlier talking about side-by-side testing and how
11 it is becoming important. We appreciate getting
12 consensus standards passed is a long process and we
13 also appreciate the FDA has been quick to move in
14 areas where safety can be increased or assured by
15 side-by-side testing as the technology tends to move
16 faster than maybe some of beaurocracy can, but
17 side-by-side testing is a very difficult because as
18 a company it is both pricey as well as potentially
19 unethical for me to get a hold of competitors
20 products to do side-by-side testing.

21 So, is there anything in the future that the

22 Agency is looking at to maybe make some of that data
23 more available through more process-base means, so
24 that, as we need side-by-side testing and need to
25 compare devices that we might need to access that

1 information through the Center as opposed to
2 attempting to retrieve it on our own?

3 DR. JEFF SHUREN: So, in terms of information,
4 you can use one I mentioned in terms of the bases
5 for our decisions where a number of them are already
6 up that we've authored and we are moving towards
7 having everything, all the decisions, authored by us
8 and up there on the website so that information is
9 available.

10 In terms of actually going to the science, the
11 underlying science, there are certain prohibitions
12 on using what someone else submitted. You can use
13 it, though, in the case if you have a device under
14 the PMA, something called the six-year rule, and six
15 years out, there is an opportunity that you may be
16 able to leverage the data that was submitted for
17 another PMA in support of your own.

18 In other cases, it is getting a right of
19 reference from the other company to rely on it.

20 We'd like to --

21 We are -- by the way to get back to the earlier

22 point which I think addresses some of these issues,
23 is standards development. We are actively engaged
24 in development of standards about, I'd say,
25 25 percent, I think it comes out to that, of the

1 folks in our center have some engagement with
2 standards development, and I'd like to see more of
3 it; but, that again becomes a resource constraint.

4 The other is the standards development process
5 is so lengthy for getting consensus standards. So,
6 someone had mentioned IEC. There is I SOS and
7 others. It can take years for those standards to
8 get developed. I actually think that's the dialogue
9 that should be engaged in by industry and healthcare
10 professionals and patients and the standards
11 development groups and regulators about is there any
12 way to speed that process up, so that, we can make
13 modifications and develop new standards and then
14 adopt, adopt them, and we adopt, you know, hundreds
15 of different standards.

16 UNIDENTIFIED MALE SPEAKER: Thank you.

17 DR. JEFF SHUREN: You are welcome.

18 MR. DAVID LINK: My name is David Link, and I
19 worked at FDA from 1970 to 1980. I managed the
20 regulatory effort for medical devices from 1971 to
21 1980 and was appointed first director of the Bureau

22 of Medical Devices and Diagnostic Products when it

23 was created in 1974.

24 So, in essence, I am one of Dr. Shuren's

25 predecessors.

1 From 1976 to 1980, when I left, we managed the
2 development and issuance of many of the regulations
3 that are in place today and are used by CDRH.

4 From 1980 to 1991, I worked at medical device
5 companies and then became a consultant to the
6 industry in 1991. Over the course of my working
7 with companies and being consultant --

8 This is a question that has to do with the
9 510(k) process, nothing to do with amalgam. I'm
10 sure most of you will appreciate one of my
11 observations then was that many of the problems with
12 the 510(k) review process had to do with reviewers
13 asking questions which had little relevance to the
14 examination and review of the submission itself.
15 They were questions which were wouldn't it be nice
16 to know, and I'll give you an example.

17 I worked for one company that had a device, a
18 mechanical device had a spring in it, and a reviewer
19 asked who makes that spring, who is the manufacturer
20 of that spring, which I thought was clearly not
21 relevant.

22 And my question to you, Dr. Shuren, is whether
23 there was a practice in ODE for supervisors of
24 reviewers to review the questions they want to ask
25 to make sure they are, in fact, relevant and

1 necessary to receive the answer so to continue with
2 the review process.

3 DR. JEFF SHUREN: Well --

4 MR. DAVID LINK: Thank you.

5 DR. JEFF SHUREN: You are welcome. Don't thank
6 me yet. I haven't said anything.

7 MR. DAVID LINK: Well.

8 DR. JEFF SHUREN: So, in terms of questions
9 being asked by reviewers, there are a lot of
10 different questions that are asked. I know a
11 concern has been raised as to whether or not
12 reviewers ask the nice to know. But don't
13 necessarily need to know, and whether or not is
14 there appropriate supervision over them.

15 We have taken some look at the extent to which
16 we may be asking questions that maybe they are
17 informative, but do we need ask them. So far in our
18 review, to the extent that's occurring, we're not
19 finding that as a significant driver in our
20 engagement or our review times to date, and we
21 continue to look at it.

22 But there is an issue about adequate manager
23 oversight in our process generally, and one of the
24 challenges we have is that in our review offices,
25 you mentioned ODE, the Office of Device Evaluation,

1 the ratio of staff to the front line manager, our
2 branch chief, is on average about 1 to 14, which if
3 you know good management practices is too large,
4 particularly if you are dealing with a lot of
5 complex issues and a lot of different applications
6 going through.

7 In our other office, our office in vitro
8 diagnostics, the average is about 1 to 27, which is
9 huge.

10 And this is one of the challenges we face with
11 the resource constraints we have is assuring we also
12 have an adequate cadre of managers over that process
13 and it is a subject of discussion in the user fee
14 reauthorization that's ongoing right now.

15 MR. DAVID LINK: Well, I would only finish by
16 asking or suggesting that managers of reviewers
17 consider that one of their major jobs, to review
18 their subordinate reviewers, and that reviewers be
19 trained to confine their questions to things to
20 subjects that are, in fact, relevant for reviewing
21 the application.

22 DR. JEFF SHUREN: And I appreciate that. I
23 will tell you that while we had this conversation,
24 dozens of applications came in the Center for
25 review. We received thousands every single year.

1 MR. DAVID LINK: I know. I was there when we
2 were receiving thousands per year also.

3 UNIDENTIFIED MALE SPEAKER: I just have a quick
4 comment about one of the remarks made by one of the
5 recent commenters. The person who was talking about
6 his experience with his mental health issues and
7 schizophrenia and violent thoughts and actions and
8 this kind of thing, commented that perhaps
9 50 percent of the people in the criminal and mental
10 health systems might be related to mercury toxicity,
11 and I will tell you that since I'm a researcher on
12 that type of thing that I am aware that there is
13 such documentation that documents that.

14 I will actually leave you a reference to a
15 review paper that documents that at least 50 percent
16 of criminal behavior and from studies in prison
17 systems in California and other states and so forth
18 and also likewise in the mental health systems
19 document that at least 50 percent, by testing the
20 patients and doing things to them to treat those
21 problems, find that about 50 percent of the

22 criminality and juvenile delinquency and a lot of
23 the mental health issues of people in mental health
24 hospitals and so forth are, in fact, related to
25 toxic metal, not just mercury, but toxic metal,

1 lead, manganese, mercury and so forth, and there is
2 peer review studies documenting that kind of thing,
3 and there are also things that can help those people
4 a lot, and there are clinics like Pfeiffer Clinic,
5 for example, that treats those kind of people and it
6 has a huge, I mean, a really successful rate of
7 curing, in essence, those people of their violent
8 prone actions and so forth, and also, the prison
9 studies show that too. There are a lot of studies
10 that show that a lot of the criminality and violent
11 prone actions and so forth are related to toxic
12 metal, and if you do the right things, you can
13 control that through very simple means.

14 I'll leave you with the reference.

15 DR. JEFF SHUREN: All right. Thank you.

16 UNIDENTIFIED MALE SPEAKER: Remember the Mad
17 Hatter in Alice in Wonderland? The Mad Hatter. It
18 was mercury.

19 DR. JEFF SHUREN: The felt hat industry.
20 People were using mercury in the making of felt hats
21 and hence the -- yes. Actually, a lot, as you know,

22 a lot of characters in Lewis Carroll were from
23 different kinds of poison, which maybe is the note
24 to end on since I know our time is up. And some
25 people are now grinning, it looks like, like the

1 Cheshire cat.

2 So, let me thank everyone for coming. We
3 really do appreciate the comments and the input, and
4 we will take all of this to heart, that one girl
5 that had so many questions was Jocelyn Jennings.

6 (Proceedings concluded at 12:15 p.m.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 CERTIFICATE OF REPORTER

2

3

4 I, SANDRA Y. KIDD, Certified Shorthand

5 Reporter and Notary Public, declare that I was authorized

6 to and did stenographically report the foregoing Town

7 Hall Meeting held on May 5, 2011, and that the transcript

8 is a true record of the proceedings held therein.

9 I further declare that I am not a relative,

10 employee, attorney, or counsel of any of the parties, nor

11 am I a relative or employee of any of the parties'

12 attorney or counsel connected with the action, nor am I

13 financially interested in the action.

14

15 Dated: 06/16/2011.

16

17 _____

18 SANDRA Y. KIDD, CSR, CP, CM

19 NOTARY PUBLIC IN AND FOR THE
20 STATE OF FLORIDA AT LARGE